

NATIONAL INSTITUTE FOR
OCCUPATIONAL SAFETY AND HEALTH

INFORMAL PUBLIC HEARING

THURSDAY, JUNE 23, 1994

The hearing was held in the Ballroom of the Vista Hotel, 1400 M Street, Northwest, Washington D.C., at 10:00 a.m., Gene Matthews, Moderator, presiding.

NIOSH Panel Members Present:

GENE MATTHEWS, MODERATOR

ROLAND BERRY ANN

DON CAMPBELL, Ph.D.

CHRIS COFFEY

RICHARD W. METZLER

ERNEST S. MOYER, Ph.D.

ROBERT J. MULLAN, M.D.

JEFFREY A. PETERSON

Also Present:

MICHAEL BENNETT,
Racial Health and Safety

BERNARD MISHKIN,
Moldex Metric, Inc.

WALTER J. HIERHOLZER, JR., M.D.
Hospital Infection Control
Practices Advisory Committee

BRUCE MAHAN,
International Chemical Workers Union

JOHN MARTONIK,
Occupational Safety and Health Administration

THOMAS J. NELSON,
American Industrial Hygiene Association

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

Also Present:

BILL NEWCOMB,
North Safety

BARRY PHILLIPS,
Scott Aviation

GINA PUGLIESE,
American Hospital Association

LINDA ROSENSTOCK, M.D., M.P.H.,
Director, NIOSH

ROBERT A. SALATA,
Infectious Disease Society of America

DAN SHIPP,
Industrial Safety Equipment Association

DON WILMES,
3M

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

I-N-D-E-X

	<u>PAGE</u>
Opening Remarks by Linda Rosenstock	6
Opening Remarks by Gene Matthews	11
Overviews of the Proposal	
John Martonik, Occupational Safety and Health Administration	23
Bruce Mahan, International Chemical Workers Union	29
Dan Shipp President, ISEA	31
Thomas J. Nelson CIH American Industrial Hygiene Association	33
Gina Pugliese American Hospital Association	41
Bernard Mishkin Moldex	49
Bruce Mahan International Chemical Workers Union	67
Robert Salata Infectious Disease Society of America	77
Walter Hierholzer Hospital Infection Control Practices Advisory	94

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

I-N-D-E-X (Continued)

	<u>PAGE</u>
ISEA Presentations	
Dan Shipp Industrial Safety Equipment Association	106
Barry Phillips Scott Aviation	113
Bill Newcomb North Safety	150
Michael Bennett Racal Health and Safety	181
Don Wilmes 3M	229

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

P-R-O-C-E-E-D-I-N-G-S

10:01 A.M.

1
2
3 MR. MATTHEWS: Could we take our seats,
4 please, and we'll try to go ahead and get started. In
5 case you wandered into the wrong meeting, this is the
6 informal public meeting on the notice of proposed
7 rulemaking on respiratory protective devices. If
8 you're here for something else, this is your best
9 chance to go. Okay, we're ready to start.

10 Good morning. My name is Gene Matthews.
11 I'm with the Office of General Counsel of the
12 Department of Health and Human Services. I serve as
13 the Legal Advisor to CDC. I will be moderating the
14 two-day public meeting we have scheduled here. If you
15 -- I assume when you came in you got a copy of this
16 tan-colored tentative schedule of appearances, the
17 agenda. Before we go any further, let me introduce
18 Dr. Linda Rosenstock who is the NIOSH Director and she
19 has some opening remarks.

20 DR. ROSENSTOCK: Thanks, Gene. I really
21 just have a few brief comments I wanted to make and
22 then I'll turn the proceedings back to Gene who will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 review some technical comments before we get into what
2 we hope will be a very productive and open and truly
3 informal but meaningful day and a half exchange.

4 As I think many of you know, I have been
5 recently appointed as the Director of NIOSH and feel
6 fortunate and pleased to have joined NIOSH at this
7 critical and, in many ways very exciting, time. The
8 jargon of the day is, in fact, the reality in the
9 sense that Government programs are being transformed
10 across the entire federal system. We feel that
11 sometimes in positive ways as well as in negative
12 ways, but in the positive ways we are undergoing
13 substantial reform, I think, to improve NIOSH's
14 products and services.

15 We've undertaken some dynamic changes in
16 the Institute in the last few months that build upon
17 some that were started earlier and I believe the way
18 we work will change in a way that I hope will be
19 palpable to many of you in terms of improving our
20 commitment to quality, to revitalizing existing, and
21 starting new and nurturing new partnerships,
22 developing state of the art laboratories and research

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 programs, and Morgantown is one example, creating and
2 staffing as we have been doing over the last few
3 months the Institute's Headquarters and Director's
4 Offices back in Washington D.C.

5 As I mentioned, I think there are some
6 difficult things that come with change, but I think
7 that these changes are not merely to say that we need
8 change. There is a need for reform and we want NIOSH
9 for our consumers and partners to be less, I think, of
10 the autonomous bureaucracy that sometimes is
11 unnecessarily at odds with key sectors of society on
12 issues of science and policy, and we would like NIOSH
13 to become more of what I think it can be, which is a
14 catalyst for effective social action that ultimately
15 leads to our shared goal of improved worker safety and
16 health.

17 And the need for improvements in our
18 respirator certification standards I think is evident.
19 I think that will be something that we all share at
20 the end of this day and a half meeting. There have
21 been no substantial regulatory changes for years, and
22 this has impaired our ability to incorporate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 technological advances into our standards.

2 The proposal today is significant for a
3 number of reasons. It introduces a modular approach
4 to regulatory improvement. The first modules, you
5 know of course, incorporates currently available
6 technology that improves the very important component
7 of respirator safety and effectiveness which is filter
8 efficiency. And improved efficiency, of course, is
9 important for many workers in many different settings
10 in a variety of different kinds of work places, and in
11 addition, of course, the provisions of this rule have
12 an important public health and timely public health
13 implication in terms of regarding the control of
14 tuberculosis and health care settings.

15 Currently air purifying respirators with
16 the HEPA filters are the only respirators that meet
17 all of the proposed CDC respirator performance
18 guidelines, but we anticipate the new classes of air
19 purifying particulate respirators certified under our
20 proposed Part 84 would meet or exceed the draft, and
21 soon to be final, CDC guidelines. Therefore, these
22 new regulations should provide a larger variety of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 respirators. We think they'll be more practical in
2 these settings and hope that indeed they'll be less
3 expensive than the respirators with the HEPA filters.

4 And for all of these reasons and more,
5 NIOSH is planning then to publish a final rule
6 pertaining to particulate filters within the year.
7 Just to mention a brief word about future modules,
8 they'll not only incorporate technological
9 improvements and other respirator types, they'll be
10 developed with a greater interaction, I hope, with and
11 sensitivity to the needs of our partners.

12 Greater private sector participation is
13 something that I strongly support and came to my job
14 committed to, and this will be encouraged by
15 increasing the number of public meetings and other
16 fora for public involvement in the process. The
17 Institute has published a suggested schedule for
18 additional modules as the first step in this process,
19 and we're open to hearing your priorities about this,
20 your suggestions, and your comments.

21 So I think we have the opportunity to work
22 together to replace the obsolete process with a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 process that produces timely improvements and
2 ultimately improved respirators and reduced workplace
3 exposures. I look forward to working with all of you
4 in achieving the goal of enhanced worker safety and
5 health through these quality partnerships. Thank you.

6 MR. MATTHEWS: Thank you. Just a couple
7 of housekeeping functions: I need to say this meeting
8 is being held in accordance with Federal Register
9 notice of May 26, '94, and the notice of proposed
10 rulemaking that was published on May 24th. As
11 indicated in that notice, the administrative record
12 for this rulemaking will consist of the notice itself
13 and other Federal Register documents, the Agency
14 records on this subject, and all written responses
15 that are given to the Agency with respect to these
16 notices.

17 In addition, the administrative record
18 will include the record of this informal public
19 meeting. Please note that no written submissions to
20 NIOSH with respect to this rulemaking will be held in
21 confidence, and it will all be open and above-board.
22 The proceedings themselves, as you will note, are both

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 being transcribed and videotaped. Any person may
2 record or make a transcript so long as it is not
3 disruptive to the meeting.

4 We expect the participants to present any
5 relevant written information, data, or views for their
6 inclusion into the record of this meeting. The
7 participants were requested to notify NIOSH by June
8 16th of their intent to appear today to give a
9 presentation. This meeting is scheduled for two days.
10 You will note on the agenda that it would appear that
11 we have ample time to cover this and we perhaps may
12 get done around lunchtime tomorrow. It's hard to
13 predict how this goes, but that's how it looks now if
14 you're trying to make your plane reservations or
15 whatever.

16 I am informed that we have approximately
17 20 participants that have requested to appear, as
18 indicated on the schedule. We will proceed in the
19 order that is listed in the agenda. If a participant
20 is not present, we will continue on in order and at
21 the end of the proceedings, we will give any
22 participant who had previously requested time to make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 a presentation -- an opportunity if time is available.

2
3 In addition, any interested persons who
4 are attending here today who did not request to
5 participate or give an oral presentation may be given
6 an opportunity at the conclusion of the meeting, again
7 if time is available. If you are so interested, there
8 is a sign-up sheet out on the table outside the doors.

9 The purpose today is to provide interested
10 persons, as I said, with an opportunity to make oral
11 presentations for the record, to hear the other
12 presentations that are being made -- I'm getting
13 feedback -- and to submit to NIOSH by July 22, 1994,
14 any comments or statements regarding what is in the
15 proposal and what was presented here today.

16 As indicated in the Federal Register
17 document, those comments and statements should be
18 submitted to the NIOSH Docket Office in Cincinnati.
19 Let me also pause for a minute to introduce Nancy
20 Bollinger, if you will stand. She will be the
21 alternate moderator, and may from time to time be
22 helping conduct the proceedings.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 What we intend to do next is Rich Metzler
2 will give us just a quick overview. I realize we have
3 a number of disciplines and interests here today. So
4 Rich is going to give sort of a quick, ten-minute
5 overview of what is in this rather scintillating
6 document in the Federal Register that has everyone
7 rivetted to their chairs here.

8 After that, we will then, as indicated on
9 the agenda at 10:30 a.m., have overviews of the
10 proposal from sort of the representative segments of
11 the respirator community: John Martonik of OSHA,
12 Bruce Mahan of International Chemical Workers Union,
13 Dan Shipp from ISEA, the Manufacturers Association,
14 Tom Nelson from American Industrial Hygiene
15 Association and Gina Pugliese from American Hospital.

16 So why don't I turn it over now to Rich
17 Metzler who will give you a quick overview and then
18 we'll proceed.

19 MR. METZLER: Good morning. I'm pleased
20 to welcome you here today to discuss improved
21 respirator certification standards and the Institute's
22 new process for proposing them. This will be the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 first of many meetings to welcome and solicit public
2 involvement in updating certification programs and
3 standards.

4 The regulations that implement the
5 Occupational Safety and Health Act and the Mine Safety
6 and Health Act require the use of NIOSH-certified
7 respirators. Respirators are currently certified by
8 NIOSH and MSHA in accordance with the Code of Federal
9 Regulations, Title 30. These regulations are largely
10 based upon criteria developed by the U.S. Bureau of
11 Mines between 1919 and 1969. They were last
12 promulgated in 1972.

13 They need to be updated to include
14 contemporary performance, reliability, quality
15 assurance standards, as well as the state of the art
16 and testing methodology to address emerging hazards
17 and to incorporate new technologies. This need was
18 recognized in two concurrently published proposals in
19 the Federal Register by the MSHA and NIOSH in 1987.

20 Consummation of this regulatory reform has
21 been difficult because of the extensive scope and
22 complexity of the reforms that were proposed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Revising all the respirator standards for all types of
2 respirators simultaneously proved to be a formidable
3 task. Many difficulties were encountered that delayed
4 the process.

5 The process itself was found not to be
6 equipped to meet the needs of the Institute: timely
7 implementation or addressing of emerging hazards and
8 timely revision of technically obsolete standards. I
9 think this is evidenced by the age of the present
10 filter penetration test, often referred to as a
11 silicate dust test, which will be 60 years old this
12 August.

13 NIOSH developed a new process, one that
14 will propose revisions in a series of smaller steps or
15 incremental improvements, thus adopting a strategy
16 that will permit continuous improvement. By re-
17 codifying 30 C.F.R. 11 requirements into 42 C.F.R.
18 Part 84, this proposal will signal the closure of
19 earlier regulatory reform efforts and the beginning of
20 a new process.

21 The new process has been referred to as a
22 modular approach to rulemaking. It introduces

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 standards incrementally rather than simultaneously
2 revising the entire 30 C.F.R. 11 certification
3 standards. We're very excited about the possibilities
4 for improving worker protection by accelerating the
5 incorporation of new technologies into those standards
6 and improving our abilities to address emerging or
7 reoccurring hazards.

8 I would like to describe some of the
9 advantages of the modular approach. The Institute's
10 scientific talents and resources can be focused at
11 developing improved standards needed to address the
12 most pressing worker safety and health issues. This
13 will enhance the Institute's ability to address
14 emerging hazards, such as that faced by health care
15 workers with the threat of multi-drug resistant
16 tuberculosis and allowing the incorporation of
17 contemporary technologies offering important health
18 benefits to workers.

19 Public participation in the rulemaking
20 process would be encouraged. By proposing important
21 regulatory changes in small segments of limited scope
22 rather than a comprehensive change, public attention

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 can be focused on a single topic rather than being
2 divided among many technically diverse and complex
3 standards.

4 A greater number of public meetings are
5 anticipated in preparing proposals as we strengthen
6 our partnerships. Other forums for public involvement
7 will be explored. With modular approach, the
8 Institute has an opportunity to integrate national and
9 international standards which were not part of the '87
10 comprehensive proposal. This affords the Institute an
11 opportunity to upgrade those standards to the most
12 contemporary available standards anywhere; that's
13 offering the best protection for workers while
14 stimulating innovation and competition among
15 manufacturers.

16 This modular approach should facilitate
17 the transition to new requirements by the respirator
18 manufacturer and user communities. We hope that it
19 will also minimize the potential for any disruption in
20 the supply of certified respirators to the field.

21 Finally, the modular approach allows the
22 Institute to implement new standards and to develop

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 new programs most efficiently because it allows us to
2 focus our scientific staff and our resources in
3 limited improvement areas.

4 This proposal introduces another important
5 administrative revision. The Institute's approval
6 responsibility is modified so that it will be the sole
7 certifying agency for the majority of respirators.
8 MSHA will retain its role in the approval of
9 respirators designed for mine rescue or mine emergency
10 use. This is a modification from the '87 proposal.
11 This provision recognizes MSHA's unique expertise in
12 identifying special needs and applications in the
13 mining environment.

14 This joint review and certification that
15 will be conducted by the agencies will include
16 examination of associated approval documentation such
17 as reliability assurance service life plans, user
18 manuals, other use restrictions, which might be
19 specified as a condition of the certification.

20 NIOSH and MSHA would also jointly conduct
21 product and manufacturing site quality assurance
22 audits and jointly investigate recall and retrofit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 matters which arise from field complaints or from
2 nonconformances which have been identified. These
3 joint certification activities are consistent with
4 current practice.

5 NIOSH is also proposing a revision to the
6 existing technical standards of 30 C.F.R. 11. In this
7 first module, improved filter standards that were
8 initially proposed and introduced in Subpart U in 1987
9 will be proposed. These new standards replace those
10 of Part 11 that were developed in 1934. These
11 proposed changes significantly improve filtration
12 efficiency.

13 New testing methodology will demonstrate
14 a filter's efficiency against particulate in the most
15 penetrating particle size range throughout the test
16 period. These new filter standards will improve
17 particulate efficiency classification system
18 consistent with advances in respiratory protection
19 technology. Users will be able to easily discern the
20 level of protection that can be expected when using a
21 particular respirator.

22 These filter performance standards address

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the Institute's concern over the health risk to
2 workers due to excessively high filter penetration of
3 current certified dust/mist and dust/fume/mist
4 respirators. Excessively high filter penetration can
5 occur when these respirators are used against aerosols
6 containing submicron particles, and the new process
7 that we are proposing is consistent with strategies to
8 reinvent the Government. They will posture us to meet
9 global challenges and to make the most of the
10 opportunities that they present.

11 MR. MATTHEWS: Thank you, Rich. Perhaps
12 I might take this opportunity to just have the panel
13 introduce themselves, and also we can check our sound
14 system as well. What we intend to do is a little
15 departure from the last time we did this at the '87
16 proposal. We have a panel that sort of represents the
17 various disciplines and expertise with the Institute
18 that have helped prepare the proposal.

19 What we intend to do following the
20 overviews is have the presenters make their ten-minute
21 presentations. Then if any of the panel members have
22 questions for clarification or information, they can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 engage -- and similarly if during the presentations,
2 if any of the commentators have questions for the
3 Institute, we will take that opportunity to respond if
4 we can.

5 During the next -- we're running a little
6 bit ahead, but during the next hour, we will have the
7 five overviews here. We don't intend to go into
8 engagement with the panel at this time, but I think
9 you sort of need to get some sense of where we're
10 going. Let's have -- just take yourself down and
11 introduce yourselves.

12 DR. MULLAN: Good morning. I'm Bob
13 Mullan. I'm a physician with the NIOSH HIV activity.

14 MR. METZLER: Hello again. I'm Rich
15 Metzler with the Certification and Quality Assurance
16 Branch.

17 MR. BERRY ANN: Good morning. I'm Roland
18 Berry Ann. I'm with the Certification and Quality
19 Assurance Branch.

20 DR. CAMPBELL: Good morning. I'm Don
21 Campbell. I'm with the Certification Program also.

22 MR. COFFEY: Good morning. I'm Chris

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Coffey. I'm a Researcher in the Certification and
2 Quality Assurance Branch.

3 DR. MOYER: I'm Ernest Moyer. I'm with
4 the Protective Technology Branch, NIOSH. I'm a
5 Chemist.

6 MR. PETERSON: Jeff Peterson, I'm with
7 Certification and Quality Assurance Branch.

8 MR. MATTHEWS: Okay. All right, if Mr.
9 Martonik is here, step right up and lead off.

10 MR. MARTONIK: Thank you. Good morning.
11 I am John Martonik. I'm acting Director of Health
12 Standards Programs of the Occupational Safety and
13 Health Administration. I'm here to present OSHA's
14 comments on the 42 C.F.R. Part 84 particulate filter
15 testing proposal that NIOSH has proposed as the first
16 module of a comprehensive revision of existing
17 respiratory certification standards in 30 C.F.R. Part
18 11.

19 First, OSHA would like to congratulate
20 NIOSH on the publication of this first module of the
21 42 C.F.R. Part 84 revision. However, this very
22 important first step in updating the respiratory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 certification standards is only the beginning of a
2 process of revising respirator certification.

3 OSHA supports your efforts in this area
4 and hopes that NIOSH will be able to expedite the
5 rulemaking process to quickly produce a much needed
6 final standard to bring respirator certification out
7 of the past and into the present decade while
8 containing flexibility to address future needs.

9 OSHA understands why NIOSH chose to
10 utilize a modular format for revising the respirator
11 certification standards in the interest of making
12 these revisions as expeditiously as possible.
13 However, the overall respirator certification
14 standards that NIOSH finally adopts are of vital
15 importance to OSHA.

16 It is important for OSHA to know what
17 NIOSH will be using for approval criteria for each of
18 the various types of respirators under the new 42
19 C.F.R. Part 84 provisions, particularly for gas and
20 vapor respirators and self-contained breathing
21 apparatus, modules that NIOSH will be addressing much
22 later in this modular rulemaking process.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 It is important that OSHA understands what
2 NIOSH will be requiring for certification as it will
3 impact what OSHA requires in the selection of
4 certified respirators for hazardous chemicals. As
5 NIOSH knows, OSHA is also in the process of revising
6 its own respiratory standard, 29 C.F.R. Part 1910.134.
7 It's a standard which relies on respirator
8 certification standards established by NIOSH.

9 OSHA and NIOSH are coordinating regulatory
10 efforts to attain consistency between the agencies and
11 to utilize our resources efficiently. OSHA has a
12 particular interest in the issue of assigned
13 protection factors, an area that NIOSH will be
14 addressing in the second module of this respirator
15 certification revision.

16 The NIOSH protection factor review will
17 have an important impact on a critical part of our
18 proposal and OSHA encourages NIOSH to expedite its
19 work in this area. The issue of protection factors is
20 one of great practical importance to the respirator
21 user community and OSHA is anxious that NIOSH proceed
22 with this next phase of its rulemaking agenda.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 OSHA has been asked to comment on whether
2 a respirator that meets requirements for filter
3 penetration contained in the NIOSH proposal would be
4 acceptable for use against M. tuberculosis exposures.
5 At this time, OSHA believes that a respirator that
6 meets the new NIOSH test criteria for Class A, B or C
7 respirators would be acceptable for use against TB.

8 However, there are other issues other than
9 filter efficiency that must be addressed before
10 respirators can safely be used for TB. The respirator
11 must be able to fit, tested, and the wear to
12 demonstrate that the facesal leakage is more than ten
13 percent. Second, the respirator must be able to fit
14 different face sizes and characteristics which can
15 usually be met by the respirator being available in
16 three respirator sizes.

17 Lastly and importantly, the respirator
18 must be able to be fit checked by the wearer each time
19 it is put on to ensure proper face-piece fit before
20 entry into TB exposure areas. The NIOSH proposed
21 filter testing criteria meet only one of the CDC
22 criteria for respirator use with TB, that the filter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 exhibit filter leakage of five percent or less, a
2 filter efficiency of 95 percent or greater.

3 OSHA plans to promulgate a standard
4 regulating occupational exposure to TB. In the
5 process of that rulemaking, OSHA will publish a
6 proposed standard and obtain public review and
7 comment. OSHA expects all aspects of respirator use
8 will be evaluated in this process.

9 In order to optimize the possibility that
10 a respirator will achieve its assigned protection
11 factor, that respirator must be chosen by the use of
12 fit testing. NIOSH has, so far, left out of its
13 certification proposal the evaluation of fit testing
14 procedures and programs. As a part of the respirator
15 certification, the manufacturer of the respirator
16 submits its respirator use instructions for the
17 respirator wearer, including any fit check procedure
18 the manufacturer recommends for its respirators.

19 NIOSH currently does not review this
20 material for the adequacy or appropriateness of any
21 fit check the manufacturer recommends. Some
22 manufacturers believe that since NIOSH received their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 use instructions as part of the certification process,
2 therefore NIOSH has reviewed them for adequacy and
3 approves of them as a fit check method.

4 A respirator which cannot adequately be
5 fit checked by the wearer prior to entry into a
6 hazardous atmosphere has a reduced chance of obtaining
7 its assigned protection factor. NIOSH needs to
8 evaluate all of the manufacturer use instructions,
9 including any fit check or fit testing recommendations
10 before issuing a certification for that respirator.

11 At this time, OSHA has no other specific
12 comments on the provisions of the particulate filter
13 testing revision. OSHA supports NIOSH in the need for
14 updating the filter testing provisions to reflect
15 current state of the art testing procedures and
16 equipment. The NIOSH proposal has made extensive
17 changes in the filter testing requirements and the
18 test equipment from those in the original 30 C.F.R.
19 Part 11 standards and NIOSH has presented supporting
20 data and explanations for those changes as part of the
21 rulemaking record.

22 OSHA supports NIOSH in its revision of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 respirator certification standards and encourages
2 NIOSH to proceed with all the modules in its
3 rulemaking agenda in an expeditious manner to produce
4 a new 42 C.F.R. Part 84 standard that will address the
5 needs of the respirator community for many years in
6 the future. That ends my statement.

7 MR. MATTHEWS: Thank you very much.

8 MR. MARTONIK: Okay. Would you like
9 copies of the statement?

10 MR. MATTHEWS: Please, if you would. If
11 you would leave them out at the door, and we will try
12 to get some copies made if anybody's interested.
13 Thank you. Next, Bruce Mahan, International Chemical
14 Workers.

15 MR. MAHAN: Good morning. I'm Bruce Mahan
16 and I'm the Field Training Director at the
17 International Chemical Workers Training Center in
18 Cincinnati, Ohio. We are one of the NIHS grantees and
19 in 1987, we were awarded grant money to train union
20 members in chemical emergency response.

21 The Center is a cooperative effort
22 involving the International Association of Machinists,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the Aluminum, Brick and Glass International Union, the
2 Aluminum Plate Glass Workers, the United Rubber
3 Workers, the University of Cincinnati and
4 Environmental Department and the Greater Cincinnati
5 Occupational Health Center.

6 Our participatory approach to training has
7 been well received by both our members and management
8 and as a result, we've been invited to conduct a great
9 deal of on-site facility-specific training. We've had
10 over 60 corporations pay tuition for us to train both
11 salary and hourly employees. As a result, we've had
12 the opportunity to observe up close and personal a
13 wide variety of monitoring programs. We've developed
14 a good working relationships with a number of
15 industrial hygiene and health and safety departments.

16 I'd like to thank NIOSH for the
17 opportunity to present the views of the Union relative
18 to proposed revisions. We feel that input at this
19 stage of proposed rulemaking is vital and we welcome
20 the opportunity to comment. Mine shall not be later
21 today a scientific approach for presentation, but more
22 an attempt to link what happens in Morgantown to what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 takes place out on the shop floor.

2 MR. MATTHEWS: Thank you very much. Dan
3 Shipp, ISEA.

4 MR. SHIPP: Thank you. Good morning.
5 I'm Dan Shipp, President of the Industrial Safety
6 Equipment Association, which is the trade association
7 representing manufacturers of approximately 95 percent
8 of the respirators made in the U.S. For our
9 presentation this afternoon, I will be joined by
10 representatives of four of our member companies to
11 provide technical expertise.

12 The ISEA supports improvements in proposed
13 filter performance requirements, recognizing that
14 respirators are a critical asset in protecting
15 workers: workers in factories, construction sites,
16 mines, farms, transportation, as well as health care
17 facilities. In our comments, we will address the
18 modular approach taken by NIOSH which we believe is an
19 effective tool to advance respirator certification
20 rulemaking.

21 We will advocate inter-agency coordination
22 and harmonization of NIOSH requirements with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 international standards and norms and we will support
2 the industry empowerment to expand the resources
3 available to the Agency. The Association will
4 recommend certain specific alterations to the proposed
5 rule that we believe will make the standard more
6 effective and make its requirements more realistic and
7 reproducible in the laboratory and in the workplace.

8 We will address the grandfathering
9 provisions of the proposal, setting time limitations
10 for certain products under existing regulations, and
11 we will make specific recommendations, either
12 endorsing the proposal by NIOSH or recommending
13 changes. We will address the proposed testing
14 parameters, recommending improvements and further
15 cooperative research where necessary. This will
16 include comments on the aerosol generation, test
17 equipment specifications, pre-conditioning, air flow
18 tolerance, inhalation and exhalation resistance,
19 filter loading and particle size distribution.

20 We will make specific recommendations on
21 filter efficiency supported by tests conducted by
22 member companies and our understanding of market

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 needs. We will also recommend a change in the
2 nomenclature of filter classes to harmonize with
3 international standards.

4 We will recommend a separate module for
5 powered air purifying respirators, rather than the
6 incomplete requirements proposed in 42 C.F.R. 84. We
7 will critique the statistical methodology proposed by
8 NIOSH and make specific recommendations for an
9 alternative.

10 We will propose elimination of fit testing
11 as part of the certification program, and we will
12 address the issue of assigned protection factors,
13 recommending that NIOSH call a technical meeting to
14 discuss the issue of appropriate uses for respirators
15 under the new classification scheme.

16 The ISEA looks forward to working with
17 NIOSH to complete this rulemaking and offers its
18 technical resources and expertise to help advance this
19 and subsequent modules. I look forward to our
20 opportunity to appear before you this afternoon.

21 MR. MATTHEWS: Thank you very much. Next
22 is Tom Nelson, American Industrial Hygiene

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Association.

2 MR. NELSON: Thank you. I'd like to do
3 just a few comments on the role of certification in
4 general, rather than the specific comments on this
5 proposed rulemaking. As industrial hygienists,
6 certification or some recognized national guideline of
7 performance characteristics of respirator products is
8 very important to us. Equipment built to these test
9 specifications will have some minimum level of
10 performance.

11 This allows me, as a hygienist, to be able
12 to make recommendations on specific respirators to be
13 used in the workplace. It is important in the process
14 of respirator selection to be able to have an
15 understanding of the expected performance of
16 limitations of a piece of equipment.

17 The current situation is that the NIOSH
18 certification rules are based on very old technology,
19 as will be discussed at this hearing. The OSHA
20 respirator standard is about 25 years old. ANSI Z88
21 and NFPA representing other groups that write
22 standards and give guidance have maintained their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 standards with regular updates and revisions.

2 Since each group's advice is based on
3 differing technologies and time frames, what each
4 group lists as a requirement varies. This leads to
5 confusion in the industrial hygiene field when
6 respirator selection and use is involved. Which
7 group's advice do I follow? What happens when I
8 select one group's advice? Have I made a bad
9 decision?

10 As an example, let me just put up an
11 overhead that lists the assigned protection factors
12 for PAPR. The ANSI standard that was revised in 1992
13 basically --

14 MR. MATTHEWS: Could you speak into the
15 microphone?

16 MR. NELSON: Yes. The ANSI standard,
17 which was revised in 1992, basically lists four
18 different types PAPRs. ANSI also has different
19 requirements when dust/mist filters are used versus
20 HEPA filters. NIOSH basically has two types of PAPRs,
21 loose-fitting and tight-fitting.

22 OSHA, the assigned protection factors vary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 by standard. And I guess I looked at this this
2 morning, I think OSHA -- most of the time they say
3 HEPA filters for PAPRs. They don't have a
4 classification for dust/mist. So you can see that
5 there is quite a bit of confusion in just this one
6 small area.

7 The values that each group lists should be
8 rather close since they should be based on a common
9 set of science. You know, it does not appear that
10 this is the case. And what does this point to? What
11 it points to is we need an understanding of a science
12 that supports the certification process and how these
13 tests relate to the workplace. We need an
14 understanding of the performance of respirators in the
15 workplace, and we need professionals in place to
16 manage the development of programs to the selection
17 and use of respirators.

18 As far as the understanding of the science
19 of certification, NIOSH in this area has conducted a
20 large amount of highly respected work; for example,
21 the study by Ernie Moyer sitting up here on the panel
22 on filter efficiencies led to the development of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 proposed filter testing.

2 The certification tests, however, are
3 performed in the laboratory. We need to understand
4 how the test results and the test criteria relate to
5 the performance of a respirator in the workplace. It
6 is tempting to design tests and set performance
7 specifications in the worst conditions and then any
8 equipment which passes this test will perform well
9 above the needs of the workplace. But does this serve
10 the user? Is it necessary to have equipment that
11 performs at such high levels?

12 For example, this will probably be
13 discussed here at the hearings, the filter test for
14 the lower performing filter is set at a minimum of 95
15 percent efficient for the most penetrating particle
16 under the worst penetrating conditions. It is highly
17 unlikely that such an aerosol exists in the real
18 world. Are the costs involved in developing and using
19 such a filter -- to meet such a test requirement,
20 worth the effort?

21 One driving force for this change has been
22 the health care use of respirators. Would it be more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 efficient to provide better equipment if a
2 certification test was designed specifically for that
3 environment?

4 Another example considered is the use of
5 filters for paint spraying. I agree that the current
6 certification for paint spray respirators leaves a lot
7 to be desired. However as an aerosol, paint spray is
8 a very large particle. Do we need equipment that is
9 designed for small particles like that for that use as
10 a proposed regulation?

11 As far as understanding how respirators
12 perform in the workplace, more research is needed to
13 better understand the performance of respirators as
14 they are used in the workplace. There is a lack of
15 workplace protection factors study on most types of
16 respirators. The ANSI standard used would replace
17 protection factor studies as the primary supporting
18 science for their assigned protection factors, so they
19 play an important role in how a hygienist selects
20 respirators.

21 A search of the published and unpublished
22 literature shows that there are about 15 workplace

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 protection factor studies that have been reported on
2 half-mask respirators in about the last ten years.
3 For powered air purifying respirators, there are
4 several studies on loose-fitting face piece types,
5 only two studies with half-mask PAPRs, and one study
6 each with full face piece and for helmets and hoods.

7 For airline respirators, only one WPF
8 study was used by the ANSI Committee to set their
9 limits. The quality of these studies varies since no
10 set protocol for conducting WPF studies exists. We
11 are still in the process of understanding what affects
12 the results of such studies. We have several clues,
13 such as Warren Myer's work on the face piece sampling
14 errors, but there are other areas that need to be
15 better understood. For example, in an unpublished
16 study by Johnston, they found relationship between the
17 mask and the analite at the workplace with the WPFM.
18 How is the effect taken into account in designing such
19 studies?

20 As a start, members of the AIHA Respirator
21 Committee did publish an article with some of their
22 thoughts on what should be in a workplace protection

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 factor study. This is a starting point, but much more
2 work needs to be done.

3 Finally commenting on the professionals in
4 the workplace that manage and develop the programs,
5 once the process of certification is set and OSHA has
6 revised their standard on respirator use, it will be
7 up to these competent individuals to manage these
8 respirator programs. This calls for a cadre of
9 professionals such as certified hygienists and other
10 trained individuals.

11 Within this community, there will need to
12 be provided a course of continuing education. The IHA
13 provides some of this education through professional
14 development courses and publications.

15 I think these three points really point to
16 a need for an interactive process within the hygiene
17 community. We have issues that are very complex. The
18 amount of work that needs to be done is very large,
19 and I know that the IAHA Respirator Committee would be
20 willing to work with NIOSH in developing answers to
21 some of these issues.

22 I appreciate being given the opportunity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to present these opening remarks. Thank you.

2 MR. MATTHEWS: Thank you very much.
3 Finally we have Gina Pugliese, American Hospital
4 Association. Gina?

5 MS. PUGLIESE: Good morning. My name is
6 Gina Pugliese and I'm the Director of Infection
7 Control and Environmental Safety at the American
8 Hospital Association, and I'm here today on behalf of
9 the AHA and its more than 4500-member institutions to
10 comment on the proposed rule that addresses NIOSH and
11 the Department of Labor, Mine Safety and Health
12 Administration's certification requirements for
13 respirator protection devices.

14 Our understanding is that these proposed
15 rules would replace existing MSHA's regulations with
16 new public health regs and also upgrade the current
17 testing requirements for particulate filters. These
18 comments represent those of the AHA's Technical Panel
19 on Infections within Hospitals in collaboration with
20 AHA's staff experts on infection control and
21 occupational safety and health.

22 The AHA is very concerned about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 dramatic rise in TB in the United States and the
2 recognized risk of TB transmission in health care
3 facilities, including the recent outbreaks of drug-
4 resistant TB that have involved both health care
5 workers and patients. And we continue to supports
6 efforts to protect health care workers and patients
7 against transmission of TB. This includes the support
8 of NIOSH's improved procedures for certifying and
9 testing respirators that represent current state of
10 the art to assure that appropriate respiratory
11 protection is available for workers.

12 We believe that all TB control programs
13 should be based on a hierarchy of controls to reduce
14 the risk of exposure to persons with infectious TB as
15 outlined in the CDC's 1993 draft guidelines for health
16 care facilities. We have been concerned about the
17 current requirements for the use of HEPA filtered
18 respirators because these are the only currently
19 available respirators that meet or exceed the CDC's
20 recommended performance criteria.

21 We also recognize that the current NIOSH
22 certification procedures for dust/mist and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 dust/fume/mist respirators are not designed to
2 evaluate these respirators' ability to meet the
3 performance criteria. We applaud NIOSH for developing
4 these proposed rules because implementation of these
5 rules will provide a new category of particulate
6 respirators, that is the Class C respirators, which
7 would meet the CDC's performance criteria for
8 protection against TB. And this will ultimately lead
9 to the availability of a broader range of certified
10 respirators for use in health care settings that will
11 be less costly and more practical than the HEPA-
12 certified respirators under the old regs.

13 In addition, the AHA fully supports
14 NIOSH's replacement of their 1992 recommendations for
15 workers' protection against TB with the recommendation
16 for the use of respirators against TB that meets the
17 CDC performance criteria. We recognize that data are
18 not available to determine the precise level of
19 effectiveness of respiratory protection needed to
20 protect workers in the health care setting.

21 We also recognize that the studies about
22 the effectiveness of respiratory protection against

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 hazardous airborne materials are based primarily on
2 experience with respiratory protection in the
3 industrial setting, not from microbacterium
4 tuberculosis.

5 So we urge NIOSH to support research that
6 will enable us to fully understand the factors that
7 influence the transmission of TB and the level of
8 effectiveness of respiratory protection to protect
9 health care workers from transmission of tuberculosis.

10 We applaud NIOSH for taking the necessary
11 steps to overcome the regulatory obstacles for
12 developing these new procedures for testing and
13 certifying respirators and we agree that the modular
14 approach to this rulemaking process will expedite the
15 changes in testing procedures and provide the
16 opportunity to incorporate the best available
17 scientific information and expertise into each
18 regulatory module.

19 We're pleased to see that the first module
20 will improve the current approach to testing and
21 certifying air purifying respirators with particulate
22 filters, the category that will be used for protecting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 against TB. In this era of health care reform and
2 fixed resources, this new generation of respirators is
3 urgently needed to protect workers against TB as well
4 as hold down the costs of providing quality health
5 care.

6 We urge NIOSH to place these regulations
7 on an accelerated implementation schedule so that the
8 market can be expanded swiftly and users will have a
9 broader selection of certified respirators for TB
10 control. We also ask that NIOSH assist health care
11 facilities with training and fit testing protocols for
12 these respirators.

13 The AHA is committed to supporting
14 measures to control the transmission of tuberculosis.
15 We recently conducted a survey of hospitals in
16 collaboration with the CDC to assess the status of
17 infection control programs in U.S. hospitals, and we
18 will use the results of this survey to identify those
19 areas that will need further emphasis and additional
20 resources.

21 We believe that prevention and control of
22 TB is vital to public health and we continue to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 provide our members with state of the art information
2 in preventing and controlling TB. We've shared this
3 proposed rule with our members and encourage them to
4 send written comments to NIOSH in support of the
5 standard as an important first step in improving the
6 certification process for respiratory protection
7 against TB and other biologic hazards in the health
8 care setting.

9 And we appreciate the opportunity to share
10 our views. Thank you.

11 MR. MATTHEWS: Thank you very much. We're
12 running a little ahead. Let me just pause for a
13 moment. I think Gina is the only one that doesn't
14 have further time and I think John -- Mr. Martonik --
15 has gone. But do the panelists have any questions in
16 particular for AHA or any other comments you want to
17 make in response to the opening overviews?

18 DR. MOYER: I have one comment and that is
19 in Tom Nelson's remarks, he indicated that there are
20 other standards organizations that are working in the
21 area of respiratory protection and devising new
22 standards. I am aware that ANSI has a committee Z88.8

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to look at air purifying respirators and would like to
2 recommend that a part of that draft document, if
3 available, be made and submitted to the docket at this
4 time.

5 MR. MATTHEWS: Rich?

6 MR. METZLER: Yes, I'd also like to make
7 a comment on Tom Nelson's remarks, and I do agree that
8 the understandings of how a respirator certification
9 works from a worker perception, the standards that the
10 certification is based on, selection standards,
11 environmental situations in the actual workplace, are
12 all very complex for a worker to understand all those
13 issues. And as Tom indicated, there is a range of
14 different standards among the different standards
15 organizations, which makes it further complicated for
16 a worker.

17 The interest of the Institute is for all
18 workers, those who work in large operations who have
19 the benefit of an industrial hygienist and those
20 workers who work in small operations, such as auto
21 body repair shops where there may be one or two
22 workers without the benefit of an industrial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 hygienist, therefore certification standards to make
2 selection and certification understanding easy, we
3 choose to use the worst penetrating aerosols so that
4 an understanding of the filtrating mechanisms and
5 certification standards does not have to be well
6 understood by all workers.

7 MR. MATTHEWS: I'd just like to make one
8 comment particularly to Gina of AHA and also I suppose
9 it also applies to Laura Kenney and the Service
10 Employees International Union, that this -- coming to
11 this from the sort of hospital infection, hospital
12 worker community, this is probably a little bit of a
13 bizarre proceeding with all of the respiratores that
14 some of us who have been steeped in this for some time
15 have been dealing with.

16 We understand the responsibility for us to
17 try to focus these issues down where they are
18 presentable in your particular context. But you have
19 to understand there's also a vast base of technical
20 information on this pyramid that we've got to work our
21 way through. So I appreciate your patience. I also
22 appreciate your comments.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Any other comments from the panel,
2 questions? If we could gain a little time then, we
3 were supposed to have a break now, but we've just been
4 at it about 50 minutes. So if Jeffrey Birkner of
5 Moldex is prepared to go forward, if you'd like to
6 step up and do so. You get tread water time for
7 having a pop quiz called on you here.

8 And perhaps Bruce, if you want to do your
9 comments too, we might could get those two in before
10 the break and gain a little bit of time. This is sort
11 of like David Letterman. We'll edit all this out when
12 we broadcast this to the networks, so don't worry
13 about it.

14 MR. MISHKIN: Good morning. My name is
15 Bernard Mishkin. I'm not Jeffrey Birkner. He's not
16 available. I'm Vice President of Marketing with
17 Moldex. Please bear with me.

18 MR. MATTHEWS: Sure. I don't mean to
19 hotbox you at any time.

20 MR. MISHKIN: Moldex Metric is a major
21 manufacturer of disposable dust/mist/fume particulate
22 respirators and twin cartridge half masks. We've been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 in this business for more than 15 years. We've been
2 actively involved in the development of ANSI
3 standards, commented on previous NIOSH and OSHA
4 proposals, participated in the American Industrial
5 Hygiene Association Respiratory Committee and serve on
6 the Board of the International Society of Respiratory
7 Protection. We are also members of the Industrial
8 Safety Equipment Association, ISEA.

9 We have accumulated a lot of expertise and
10 knowledge in the field of respiratory protection, and
11 we support any reasonable Government standards that
12 improve worker health and safety. In this regard, we
13 have diverse research and development staff in
14 addition to well-equipped laboratories, both in the
15 U.S. and Europe where Moldex has a manufacturing and
16 marketing subsidiary.

17 We have requested time today to comment on
18 the proposed 42 C.F.R. Part 84 supplementary
19 information Section 5.26.859 to illustrate to those
20 present and for the record the potential economic
21 impact that this proposed standard will have on U.S.
22 industry and workers currently using NIOSH-certified,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 disposable, particulate respirators.

2 These are by far the most popular and
3 widely used particulate respirators. NIOSH has
4 estimated that employers annually purchase over 110
5 million, and this proposal will have by far the most
6 impact on these products and the workers who use them.
7 Generally speaking, we are in agreement with NIOSH's
8 goal of an improved regulation that ultimately
9 improves respiratory protection for American workers.

10 To this end, we commented in 1987 that we
11 would like to see a new NIOSH respirator standard that
12 is in alignment with the European CEN standards. We
13 take this opportunity to reiterate this concept for
14 the following reasons.

15 Why reinvent the wheel? Many of the same
16 U.S. respirator manufacturers and industries have
17 already been living with CEN regulations in Europe
18 that go well beyond the performance and protection
19 levels that are currently regulated here by NIOSH and
20 OSHA.

21 Let's rise beyond the not-invented here
22 cliché and look to the OMB circular A-119 7a(2),

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 October 20, 1993, which states that "International
2 standards should be considered in regulatory
3 applications", etc.

4 Costs to both users and manufacturers of
5 the current proposal would be reduced while users
6 would have available products of greatly enhanced
7 performance. These products are available now for
8 most major U.S. respirator manufacturers and at a
9 reasonable cost increase over current NIOSH-certified
10 products.

11 The global economy may soon extend to
12 respiratory protection. We see the testing and
13 performance requirements of the proposed 42 C.F.R. 84
14 as a step away from globalizing these types of
15 standards and they would actually make harmonization
16 of international respiratory standards more difficult.

17 We ask NIOSH to examine this proposal to
18 see if it is in line with the spirit of OMB Circular
19 A-119 and take the comments of NIOSH staff who are
20 currently assigned to work on international
21 harmonization.

22 Beyond this, we feel that with this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 proposal, NIOSH is attempting to make up for lost time
2 and so the pendulum has swung too far the other way.
3 No one would disagree that 30 C.F.R. 11, now more than
4 20 years old, needs revision. And yet, it resulted in
5 respiratory products which, if used properly and for
6 the appropriate use conditions, perform quite well, as
7 evidenced by many workplace protection factor studies.

8 42 C.F.R. 84, as proposed, has swung past
9 the CEN standards in terms of stringency and will
10 result in a cost to industry of many times what is now
11 spent on respirators that are currently certified
12 under 30 C.F.R. 11.

13 Firstly, we have examined and tested every
14 commercially available filter media and we have not
15 found any available that would meet the requirements
16 of all three types, A, B, C, in both the solid and
17 liquid/solid categories at a reasonable cost. The
18 only media that would meet certification requirements
19 of a limited number of the new types is currently made
20 in the United Kingdom at a cost to U.S. respirator
21 manufacturers that is at least 20 times the media used
22 to meet current standards for disposables.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. MATTHEWS: Could you hold that for
2 just a moment?

3 MR. MISHKIN: That's all right. I'm going
4 to leave it there.

5 MR. MATTHEWS: Okay.

6 MR. MISHKIN: For example, the cost of
7 commercially available filter media to meet 30 C.F.R.
8 11 disposable dust/mist requirements is between 60
9 cents and one dollar per square yard. The cost of
10 European commercially available filter media to meet
11 proposed 42 C.F.R. 84 requirements is between 12
12 dollars and 17 dollars per square yard, depending on
13 the type, A, B, and C, and whether it is for solids or
14 liquid/solids.

15 Secondly, the fit test requirements of
16 Section 84.181 would necessitate elastomeric inner
17 flanges to be added to all certified disposable
18 respirators in all categories. We have attempted to
19 project the average user cost of disposables designed
20 to meet 42 C.F.R. 84 and make a cost comparison with
21 current 30 C.F.R. 11 approved products. Our best
22 efforts follow.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 30 C.F.R. 11 NIOSH estimates 110 million
2 disposable respirators, average current user cost, one
3 dollar each. NIOSH estimates between one dollar and
4 eight dollars each. 42 C.F.R. 84 Moldex projected
5 average user cost with enhanced European media and
6 inner flange, five to ten dollars. The projected
7 increased user cost for disposables of 42 C.F.R. 84
8 would be between \$440 and \$990 million.

9 Moldex strongly believes that the total
10 cost impact to U.S. industry of the currently proposed
11 42 C.F.R. 84 will be well beyond \$100 million. We
12 suggest OMB and NIOSH need to investigate and take
13 into account the following factors for all types of
14 respirators in addition to the figures above:

15 (1) The cost of upgraded filters including
16 the substitution of stacked chemical/pleated
17 fiberglass HEPA-type cartridges in place of currently
18 used single-ply pre-filters for all applications such
19 as spray paint/pesticide. This is applicable to twin
20 cartridge elastomeric half mask respirators.

21 (2) The possibility that inexpensive,
22 widely used, and worker-accepted disposable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 particulate respirators would be replaced by costly
2 reusable elastomeric cartridge masks.

3 (3) The increased costs of respirator
4 maintenance and training programs that are associated
5 with reusable respirators.

6 (4) The training and education programs
7 needed to explain the new regulations to the user
8 public. This will have to be extensive in order to
9 minimize confusion misuse and therefore limit
10 liability.

11 (5) The statistical standard deviation
12 requirements of the current proposal might necessitate
13 much higher waste costs to the respirator
14 manufactures. And for more detail of this, I suggest
15 you see the ISEA comments later. And these will have
16 to be passed on to users via higher prices.

17 (6) The cost of liquid/solid filters are
18 considerably higher than solid filters due to the
19 extreme degrading effects on filter efficiency of the
20 loaded DOP challenge. If users upgrade to
21 liquid/solid filters by a high percentage because of
22 liability or worker compensation considerations or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 NIOSH user guidelines or market pressures, then cost
2 to industry would be significantly higher.

3 The question that comes obviously to mind
4 is will the magnitude of the potential cost increase
5 have a commensurate increase in improved worker safety
6 and health? We do not believe so. We want to see an
7 upgrade in the regulations, but not to the extent that
8 the cost might result in decreased health because
9 employers might decide that they cannot afford to
10 provide adequate and appropriate protection.

11 NIOSH has an opportunity now to increase
12 worker protection for a modest cost increase to U.S.
13 industry by taking a more reasonable and international
14 view of testing equipment and performance
15 requirements. Thank you.

16 MR. MATTHEWS: Thank you very much.
17 Again, I apologize for you getting hot-boxed as the
18 lead-off presenter here.

19 MR. MISHKIN: Well, I have an apology for
20 you and that Jeff Birkner isn't here to answer your
21 questions.

22 (Laughter)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. MATTHEWS: But not --

2 MR. MISHKIN: It's a fair trade-off.

3 MR. MATTHEWS: But could you stay at the
4 mike just for a minute. Let's see if we have any
5 comments or responses. I understand if you want to
6 demur, that's fine.

7 MR. MISHKIN: Okay.

8 MR. MATTHEWS: Any comments or questions?

9 DR. CAMPBELL: One question I had is sort
10 of -- I guess it might be for protocol. Is this mike
11 on? Oh, it's now working, okay. I couldn't hear it.
12 Sorry.

13 Just one question. You indicated that the
14 respirators, in order to pass the fit test here, would
15 have to be redesigned and I'm curious about that
16 because our intention with this rule was to change
17 only the requirements for the filter penetration test.
18 So our intention was to leave the fit tests as they
19 were.

20 We in fact have a lot of problems with
21 what's there, but our intention in this rulemaking
22 activity was not to change that. So I'm curious about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 why respirators would need to be redesigned, and that
2 would be a large part of the cost, I assume.

3 MR. MISHKIN: As part of your proposal,
4 you have a banana oil fit test which is a vapor, and
5 you're requiring that to test a particulate
6 respirator. Now the respirator would have to be
7 redesigned to pass the certification tests with a
8 banana oil challenge.

9 DR. CAMPBELL: The banana oil test that's
10 in these regulations is the same banana oil test
11 that's in the current Part 11.

12 MR. MISHKIN: But they're not applicable
13 to disposable dust/mist respirators.

14 MR. METZLER: I think there may be one
15 point of confusion here. The banana oil test as part
16 of the certification process will have a modified
17 respirator that's used for that testing. But
18 respirators which will be delivered to the field will
19 continue to be fit tested in the field under any fit
20 test methodology that is acceptable to OSHA. There
21 will be no change in those respirators.

22 So the impact of making a modification to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the respirator for the isoamyl acetate test is only on
2 those prototypes submitted for certification, not for
3 all respirators delivered to the field.

4 MR. MISHKIN: But that means the
5 prototypes submitted for certification would be
6 significantly different from those that go out into
7 the field.

8 DR. CAMPBELL: That's correct, and that's
9 a deficiency in our fit testing requirements. But I
10 fail to see how that causes an increase in the cost or
11 causes the respirator that's sold to the customer to
12 be redesigned.

13 MR. MISHKIN: Well in order to pass the
14 certification tests, there would have to be an
15 elastomeric face piece. Now would you accept a
16 respirator with an elastomeric face piece for
17 certification purposes, but that same respirator would
18 not have a elastomeric face piece when it goes out to
19 sell to users?

20 DR. CAMPBELL: I don't understand why it
21 would it have to have an elastomeric face piece
22 because as we now certify respirators in that face fit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 test, the respirator is modified to include a
2 different filter media, but the inherent design of the
3 respirator is the same.

4 MR. MISHKIN: The elastomeric -- Rich, do
5 you want to comment?

6 MR. METZLER: No, I was going to say that
7 is very misleading in that the qualitative fit tests
8 done in the current process with dust/mist/fume
9 respirators does not require respirators to be
10 modified with an elastomeric fit. In fact, the
11 disposable respirators will often just have a carbon
12 lining in it as a surrogate respirator to conduct that
13 test. It does not require an elastomeric fit to
14 conduct that test.

15 MR. MISHKIN: But my understanding is that
16 disposable dust/mist respirators at present are not
17 challenged with banana oil for certification purposes.

18 DR. CAMPBELL: Okay now I understand.

19 MR. MISHKIN: Okay.

20 DR. CAMPBELL: So the fit test that is
21 presently in Part 11 does not apply to all types of
22 respirators.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. MISHKIN: Correct.

2 DR. CAMPBELL: Okay, I understand.

3 MR. MATTHEWS: Let me just make one
4 comment about your point of trying to merge with the
5 CEN standards. Clearly that's an evolving process, as
6 well as what we're trying to do to move these
7 regulations out of 1972 and 1934, however you want to
8 characterize it.

9 It certainly is the intent of the Agency
10 to provide a strategy that will merge where we're
11 going with the international standards. So we're very
12 much sensitive to that point.

13 MR. MISHKIN: We are very happy to hear
14 that.

15 MR. MATTHEWS: It's got to be worked out
16 carefully.

17 DR. MOYER: I have one follow-up question
18 in regard to that. I would assume that since you're
19 basically promoting the CEN-type of standards that you
20 also believe that there should be different classes of
21 respirators according to the challenge aerosol, which
22 means there should be a solid class and a solid and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 liquid class. Is that correct.

2 MR. MISHKIN: You're talking to a
3 marketing person.

4 DR. MOYER: Well, I thought the European
5 standard --

6 MR. MISHKIN: Right.

7 DR. MOYER: -- basically puts forth.

8 MR. MISHKIN: Yes.

9 DR. MOYER: So your argument here of
10 endorsing that, I would assume that you therefore
11 endorse the use of two classes, a solid and a liquid
12 aerosol.

13 MR. MISHKIN: Yes.

14 MR. METZLER: I'd like to follow-up with
15 a comment to on the CEN standards, primarily from a
16 process point of view. It's not NIOSH's interest to
17 achieve international integration. In papers that I
18 have given, I have been very careful to use the word
19 "integration" rather than "harmonization".

20 The European community has been working
21 for 20 years or more to harmonize its standards. And
22 even with the common economic interests that they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 have, that process has been evolving over almost a
2 quarter of a decade to reach the point where they're
3 currently at.

4 Seeing the obstacles that harmonization
5 creates, we are embarking on a process of integration,
6 integration meaning selecting those standards which we
7 think appropriately fit in the American workplace and
8 then incorporating those into our certification
9 standards.

10 Harmonization also implies harmonizing not
11 only the certification standard, but the entire
12 enforcement strategies in the industries in Europe.
13 That's a much bigger topic to address than just
14 certification.

15 MR. MISHKIN: Thank you.

16 MR. BERRY ANN: I have -- could I ask a
17 question please? Since you're in marketing, hopefully
18 you can answer this. In the 1987 proposal, which you
19 said you commented on, the Subpart U tests were more
20 stringent in that there were the -- all the
21 classifications had to pass the liquid test.

22 The cost estimates that we received to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that proposal were in the neighborhood of \$500,000,
2 not \$500,000,000 to \$900,000,000.

3 MR. MISHKIN: In 1987 --

4 MR. BERRY ANN: Could you explain the
5 difference, please?

6 MR. MISHKIN: In 1987, did you have a 200
7 milligram loading test?

8 MR. BERRY ANN: No, it was go until
9 failure.

10 MR. MISHKIN: Okay.

11 DR. MOYER: It was 100 milligrams in 1987,
12 but that test continued until the filter no longer
13 showed any degradation at all, which in fact could be
14 more critical than the tests that are proposed at this
15 particular time depending on the filter media that is
16 used. So that isn't necessarily true.

17 DR. CAMPBELL: I also have one. Are you
18 finished? On your original cost estimates, were you
19 assuming that all currently available respirators
20 would need to be redesigned and that none of the
21 available products --

22 MR. MISHKIN: No, we were talking about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 disposables.

2 DR. CAMPBELL: Okay, then does that apply
3 to all disposables? All disposables would need to be
4 modified in order to meet --

5 MR. MISHKIN: The most popular types, yes.

6 DR. CAMPBELL: And what would that be?

7 MR. MISHKIN: The dust/mist/fume types.

8 DR. CAMPBELL: Okay, thank you.

9 MR. MATTHEWS: Okay? You've got a --

10 MR. METZLER: Yes, I'd like to make
11 another comment for the record, and that is in the
12 submittal of comments to CDC's proposal on respiratory
13 protection for health care workers, one manufacturer
14 of respirators of this type stated that the Subclass
15 C respirator would be comparable to the dust/mist in
16 breathing resistant and comfort for wearer and several
17 times less expensive than HEPA filter products.

18 MR. MATTHEWS: Okay. Let me ask Bruce, do
19 you have a feel how long you're going to take?

20 MR. MAHAN: About two minutes.

21 MR. MATTHEWS: About two minutes?

22 (Laughter)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. MATTHEWS: My kind of guy. While
2 you're coming up to the microphone, let me just make
3 an announcement. I have a message here for Richard
4 Stein, phone message, if you want to come up and get
5 it. It's on the phone table.

6 MR. MAHAN: I'd like first to make a
7 comment on the modular approach that's being utilized.
8 Given the history of attempted changes to 30 C.F.R.
9 Part 11, this approach is both welcome and refreshing.
10 I'm quite sure that you'd be hard-pressed to find
11 anyone specializing in respiratory protection who
12 would not agree that revision is long overdue.

13 At the same time, I'm also quite sure that
14 you would equally be hard-pressed to find many within
15 the same community who would be in agreement on
16 specific changes. The modular approach just makes
17 good common sense.

18 I'm not sure it's permissible to use the
19 term "common sense" when referencing a regulator
20 document --

21 (Laughter)

22 MR. MAHAN: -- but I'm hopeful that this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 approach will be a step in the direction of getting
2 necessary changes implemented. We wish NIOSH all the
3 luck in the world in using this approach.

4 Okay using just a few examples, such as
5 metallurgical dust and fumes, carbon black, zinc
6 oxide, sulfuric acid mist, it becomes obvious that
7 potential occupational exposures may be very small
8 sized particulates. While particulate size may be as
9 small as one-one thousandth of a micron, there is just
10 a need to challenge respirators accordingly prior to
11 certification. We support NIOSH's effort to reduce
12 the particle size which would most easily penetrate
13 the filter.

14 In addition to filter efficiency
15 classification, we feel that there is further need to
16 identify respirators according to breathing
17 resistance. Perhaps NIOSH could consider this for
18 future modules.

19 We've trained over 17,000 workers from all
20 over the country since '87. We've conducted 168
21 sessions in Cincinnati, 400 sessions in the field and
22 active plants where we represent the workers. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 consistently hear from the workers that we train that
2 they are not aware of any monitoring being done in
3 their work area.

4 I am personally convinced that a large
5 percentage of respirator selection is done based on
6 knowledge of what the contaminant is without knowledge
7 of levels or particulate size. It is our opinion that
8 even when attempted, that it is very difficult to get
9 precise particulate classification according to
10 particle size while taking samples in the workplace.

11 And another area I'd like to comment on is
12 fit testing. I'm aware that this is outside the
13 subject matter of today's informal meeting. I heard -
14 - John's gone -- but I was pleased to hear John
15 address respirator testing. I'm also pleased to hear
16 to Moldex reference it.

17 In order to obtain an adequate fit, they
18 may have to use elastomeric face piece. It doesn't
19 really matter how well a filter performs if you don't
20 get a good face to face piece seal. While I don't
21 pretend to have answers on how to address this concern
22 within the certification stage, I would like to take

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 this opportunity to plant seeds for future
2 consideration.

3 While we have fit test protocol spelled
4 out in specific standards: asbestos, benzene,
5 formaldehyde, various specific standards, they apply
6 to a very small percentage of respirator users.
7 Before being approved for use, it should be
8 demonstrated that a respirator can attain adequate fit
9 factors on human faces.

10 It is the opinion of many that this is
11 difficult to demonstrate using any non-elastomeric
12 face piece material. The current qualitative test
13 using isomyl acetate (banana oil) requires that
14 certain face pieces be modified by impregnating
15 charcoal into the filter media.

16 The end result is that the respirator that
17 is approved is not the same respirator that's used in
18 the field. We would like to see a module added that
19 perhaps addresses fit testing specifically.

20 Back to the monitoring that goes on in the
21 workplace, I understand there is an argument to be
22 made for a select few large corporations that have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 both resources and expertise to do a good job of
2 monitoring. Regrettably, I've found that to be more
3 the exception than the rule.

4 Smaller employers, and especially those
5 with less than 50 employees, are not likely to have
6 the resources or the expertise to do adequate
7 monitoring. What I also find to be consistently true
8 as we travel around the country doing training is that
9 a lot of people responsible for this area of doing
10 monitoring are also responsible for security, fire,
11 and ten other areas.

12 There's a lot of downsizing going on and
13 it's very difficult to make a selection based on what
14 results you can get in the workplaces that I have
15 visited, and they're not all mom-and-pop's. That
16 scares me even more.

17 The places we do go train are the
18 Monsanto's, the Union Carbides, the corporations that
19 are not the Earl Scheib's paint shops. If you take
20 that a step further, what I have not been exposed to,
21 I really don't think that you can safely say that we
22 have monitoring in place to make an intelligent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 selection when it comes to respirators.

2 MR. MATTHEWS: Okay.

3 MR. MAHAN: Any questions?

4 MR. MATTHEWS: Questions, comments?

5 DR. CAMPBELL: Based on your experience,
6 could you characterize the ability of typical
7 respirator users to characterize the size of the
8 aerosol that they're concerned with?

9 MR. MAHAN: The typical respirator user
10 doesn't know what the word "aerosol" means, let alone
11 characterize anything as a result. What I find in
12 workplaces, is that you have to dig and touch several
13 bases before you can even get the results available
14 for the worker to see. When a worker does see the
15 results, they're in a format that's not understandable
16 and that's part of what our job is, to translate some
17 of these results into language that a worker can
18 understand.

19 MR. BERRY ANN: Based on your experience,
20 do you have any feel for what the particle sizes are
21 in the environments?

22 MR. MATTHEWS: Anything that I have a feel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 for in the way of particle size comes out of
2 textbooks, typically written by those that haven't
3 visited the workplace. But I know these results are
4 from labs, but the National Safety Council, the one
5 chart that I used just to try to get myself a little
6 more knowledgeable, has seen particle sizes down to
7 one-one thousandth of a micron.

8 And probably half of those on that chart,
9 the chart that I was referencing out of their
10 Fundamentals of Industrial Hygiene text, Third Edition
11 -- probably half of those on that chart were less than
12 one micron. So --

13 DR. CAMPBELL: The fundamental basis of
14 this proposal is that it uses a worst-case aerosol.
15 And the effect that that has on users is that that
16 removes the burden of the user of having to know
17 anything about the particle size. So I'm taking your
18 comments to mean that you basically would endorse that
19 approach.

20 MR. MAHAN: Absolutely.

21 MR. METZLER: I'd like to make a comment
22 concerning the fit testing. The fact that it has not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 been addressed in this module is not an indication
2 that we don't see that it's extremely important. In
3 fact, the Institute recognizes that protection
4 provided by a respirator is important in both filter
5 penetration and also in face fit.

6 So we recognize your concern and hope to
7 address that in a future module.

8 MR. MAHAN: It wasn't meant as a negative
9 comment. It was just meant as something that I
10 noticed -- I led off by supporting the modular
11 approach because I know when you try to take this
12 whole thing on at once, you might as well not even
13 attempt it.

14 So I endorse that. I just know that
15 regardless of what we come up with in the way of
16 filter presentation, we can have the best filter
17 available, but if this respirator doesn't fit, which
18 we don't believe that you can get with anything short
19 of elastomeric on a consistent basis, then it doesn't
20 really matter.

21 MR. METZLER: In fact, I believe that that
22 is an area where we need to focus our attention on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 building stronger partnerships to work together to
2 identify proper fit testing, the requirements in the
3 future, as well as assigned protection factor modules
4 with additional public meetings and discussions to
5 hear every perspective on the issue in advance of a
6 proposal.

7 MR. MAHAN: I don't know that this is the
8 format, Rich, but just one other quick comment. I
9 said two minutes, and I think I've probably exceeded
10 that. But even the fit testing that's done properly -
11 - and I don't want to be over cynical, but it's done
12 in an air conditioned trailer somewhere or it's done up
13 in the hygiene office and it's not done out where the
14 worker is bending down, getting up under parts,
15 sweating, his nose itches, he's got to sneeze.

16 I mean, we'd like to somehow move toward
17 workplace protection factors, and I know that's in the
18 works. I don't pretend to have the answer to that,
19 but somehow get some sort of a quantitative number on
20 what occurs when that respirator is actually used out
21 there in the real world. And I think those numbers
22 will be dramatically different.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. MATTHEWS: Thank you very much. One
2 housekeeping comment. Again, I appreciate Moldex's
3 good humor and flexibility for leading off a little
4 ahead. Would all presenters, if you have slides,
5 leave a copy of the slides at the front desk so we can
6 also make the slides a part of the public record on
7 this meeting?

8 Why don't we then take a break with the
9 caveat we will come back and ask Bob Salata of the
10 Infectious Disease Society, and then if Walter
11 Hierholzer is here, and could be prepared to also go,
12 we will do those two presentations, and then probably
13 break for lunch and pick up with ISEA after lunch. So
14 I have now 11:28 and we will start back promptly at
15 11:45.

16 (Whereupon, the proceedings went off the
17 record at 11:28 a.m. and resumed at 11:46 a.m.)

18 MR. MATTHEWS: Could I have your
19 attention? What we will plan to do then is, we're at
20 Infectious Disease Society of America, then Walter
21 Hierholzer from Hospital Infection Control Practices
22 Advisory Committee will go also. Then we will break

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 for lunch after that.

2 There has been at least one sign-up of a
3 person that wants to have some air-time. So we may
4 fit that person in, depending on how this goes, either
5 before lunch if they're prepared or sometime this
6 afternoon after the ISEA presentations. We'll try to
7 be flexible. Nobody has missed their slots yet in the
8 proceeding and we understand that we're running a
9 little ahead, so we're not trying to blind-side
10 anybody on this.

11 But as of now, we don't have any
12 intentions of flipping over into tomorrow's 9:00 a.m.
13 order unless there's somebody here, if you want to
14 come up at lunchtime and say you'd be interested in
15 going early if time permits. Please let me know,
16 okay?

17 So we are now at Robert Salata, Infectious
18 Disease Society of America.

19 DR. SALATA: Thank you and good morning.
20 I'm Dr. Robert Salata. I'm Associate Professor of
21 Medicine, Associate Chief of Infectious Disease and
22 Clinical Program Director, and Hospital Epidemiologist

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 at Case Western Reserve University and University
2 Hospitals of Cleveland in Cleveland, Ohio. Today, I
3 am representing the Infectious Diseases Society of
4 America, and we appreciate the opportunity to comment
5 on the NIOSH-proposed rule revisions in the Federal
6 Register of May 24, 1994 and the OSHA mandate for the
7 use of HEPA filtered masks for personal respiratory
8 protection against tuberculosis in health care
9 centers.

10 The Infectious Disease Society of America
11 offers a unique perspective on the difficult problem
12 of tuberculosis control. With over 4,300 members,
13 this Society is the largest organization of infectious
14 diseases physicians in the world. We provide primary
15 care for patients whose principal problems are
16 infectious in nature, and we are frequently called
17 upon as consultants for patients with difficult and
18 complex infections, including tuberculosis.

19 Epidemiologists at many hospitals in the
20 United States are also infectious disease physicians.
21 Since the care of tuberculosis has moved from the
22 sanitarium to the general hospital environment, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 prevention of nosocomial or hospital-spread of TB has
2 become a major concern for our membership.

3 Infectious diseases physicians are at the
4 forefront of TB clinical cases with the ID physicians
5 frequently being the primary sub-specialists dealing
6 with TB patients in many communities. As a group, we
7 have as much face-to-face contact with TB as any other
8 group of health care workers. As such, we have much
9 to gain from carefully designed TB control measures.

10 Since 1985, the number of reported TB
11 cases in the United States has been rising. An
12 additional complication has been the emergence of
13 multi-drug resistant TB. With the risk for a
14 hospital-associated spread and transmission and
15 increased mortality rates, the IDSA recognizes the
16 legitimate fears and concerns of health care workers
17 regarding acquisition of TB.

18 However, as the CDC noted in the summary
19 of the draft guidelines for preventing the
20 transmission of TB in health care facilities published
21 in the Federal Register on October 12, 1993, it is not
22 reasonable to expect that TB transmission will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 completely eliminated in the hospital setting.

2 We would also argue that the major
3 problems with TB currently, particularly regarding
4 hospital transmission, are largely limited to a small
5 number of urban areas. All experts in TB infection
6 believe that the primary efforts and effect of TB
7 control activities must be in the early detection,
8 isolation and treatment of active cases, as well as
9 engineering control such as ventilation changes in
10 isolation areas of the hospital.

11 The Occupational Safety and Health Act of
12 1973, which created both OSHA and NIOSH, charges them
13 with ensuring that no worker shall suffer an injury in
14 the workplace. Where no maximal tolerable levels of
15 exposure to toxins, pollutants or microbial pathogens
16 has been defined, the law mandates zero tolerance.

17 As already pointed out, the CDC has
18 acknowledged that transmission of TB in hospital
19 settings cannot be totally eliminated. Nonetheless,
20 the CDC opened the door for OSHA and NIOSH in the area
21 of respiratory protection for health care workers by
22 making reference in the December 1990 guidelines to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 particulate respirators to protect health care workers
2 and not the patients.

3 Specifically, the law requires that NIOSH
4 certify the use of all particulate respirators
5 regardless of the setting and that NIOSH and OSHA
6 require that where particulate respirators are in use,
7 a planned program of fit tests and fit checks must be
8 established.

9 NIOSH is charged by law with establishing
10 optimal means of respiratory protection without
11 consideration necessarily of price or feasibility.
12 OSHA, on the other hand, is empowered to consider
13 issues in the context of feasibility and economic
14 ramifications.

15 The requirement for HEPA filters will be
16 extraordinarily expensive for institutions to
17 implement as the acquisition cost for these masks may
18 be up to ten times that of currently recommended
19 dust/mist respirators. Additionally, fit testing and
20 fit checking programs substantially add to the cost.

21 The HEPA filter mask requirement goes well
22 beyond any objective documentation of benefit as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 acknowledged at the NIOSH workshop in Cincinnati in
2 July 1993. In short, the recommendation for HEPA
3 filters is based upon theoretical benefit. No
4 scientific data exists to support the mandate.

5 No other change as drastic as that of HEPA
6 filter respirators has ever been brought into the
7 health care environment without some period of site
8 testing. To the best of our knowledge, HEPA filter
9 respirators have never been site-tested at any health
10 care facility.

11 The current CDC guidelines state,
12 "Respirators with HEPA filters are the only currently
13 available respirators." This is true but only because
14 NIOSH and CDC have not provided certification
15 procedures for evaluating other less costly
16 alternatives that could potentially meet the
17 standards.

18 Until these agencies have evaluated such
19 alternatives, it is a needless waste of health care
20 dollars to mandate HEPA masks as the only acceptable
21 personal protection control. The draft CDC guidelines
22 of October '93 contain the important acknowledgement

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that certain dust/mist and dust/mist/fume respirators
2 may have the filtration capacities as required by
3 NIOSH to exclude respiratory droplet nuclei of a size
4 that carry the tuberculosis bacteria.

5 Under considerable pressure, NIOSH is now
6 in the process of changing the ideas about
7 certification. This really will provide a window of
8 opportunity for manufacturers to submit alternative
9 respirators for NIOSH approval. We fully support the
10 NIOSH proposal published May 24, 1994, in the Federal
11 Register which would upgrade current testing
12 requirements for particulate respirators.

13 The new process should provide a fair,
14 reliable way of evaluating particulate respirator use
15 in the future, but is not intended to address design
16 or improved fit of the masks. The proposal and
17 certification process finally addresses the health
18 care setting and the 95 percent filter efficiency
19 should be acceptable to most health care worker needs.

20 This discussion about filtration
21 capabilities and characteristics of the respirators
22 does not take into account problems related to facial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 fit and seal as well as comfort. First of all, it is
2 extremely difficult and unlikely that any particulate
3 respirator can be optimally fitted to a person with
4 facial hair or certain facial types. The expense of
5 these programs needs to be factored in.

6 There are also issues related to
7 respiratory filter storage and reuse. If HEPA filter
8 particulate respirators are mandated, few health care
9 facilities will be able to afford single, disposable
10 use unlike what is currently the practice for the less
11 expensive dust/mist respirators.

12 Because of the cost of HEPA filter masks,
13 many institutions have concluded that health care
14 workers caring for tuberculosis patients will have to
15 be cohorted so that as few individuals as possible
16 will need to be fitted for these protective devices.

17 These masks can be worn for only short
18 periods of time before they become oppressive because
19 of respiratory difficulties. The ramification of this
20 difficulty will undoubtedly be much worse in health
21 care workers with pre-existing respiratory ailments.

22 The relative increase in prevention of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 cases from the use of HEPA filter respirators compared
2 to other respirators has not been assessed in areas
3 where other protective measures, which the Society
4 considers to be of greater importance, have been
5 implemented.

6 There are settings where the risk of TB
7 transmission to health care workers has been
8 significantly reduced and where the outbreak of
9 hospital transmission has been eliminated without the
10 use of such costly measures as HEPA filtered
11 particulate respirators.

12 Many TB control experts argue that HEPA
13 respirators afford little benefit beyond
14 administrative measures, engineering controls and
15 personal protective devices already written into the
16 CDC 1990 guidelines. In fact, the current CDC/OSHA
17 recommendation for HEPA filtered respirators have
18 drawn virtually no support from the infectious
19 diseases, infection control or pulmonary medicine
20 communities.

21 The CDC guidelines that establish new
22 standards for TB control in hospitals will, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 practice, become mandatory standards to which
2 hospitals and health care workers will be held. As a
3 result, the guidelines will have the force and effect
4 of regulations issued by the Agency. Therefore, these
5 guidelines must meet the standard of reasonableness
6 imposed upon all regulations by the Administrative
7 Procedures Act.

8 Since the CDC has failed to meet this
9 reasonableness standard with regard to provisions in
10 the above-mentioned areas, it must revise the draft
11 guidelines. Agencies are required to issue a concise,
12 general statement of basis and purpose as the final
13 procedural step in the informal rulemaking process.
14 Where agencies have failed to articulate a reasonable
15 basis for their decision to issue a particular
16 regulation, courts have invalidated such regulations
17 and have required agencies to reconsider.

18 While a court will not substitute its
19 judgement for that of the agency, it will determine
20 whether an agency has considered the relevant factors
21 and articulated a rational correlation between the
22 facts found and the choice made. Recently, a Federal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Court invalidated an existing set of regulations
2 because there was no longer a rational connection
3 between the facts originally supporting the regulation
4 and the regulation as it operates today.

5 Although agencies are not required to
6 support their proposed guidelines or regulations with
7 scientific certainty, they are required to provide a
8 rational basis for such guidelines which must include
9 some rational connection between the proposed
10 regulation and the desired outcome.

11 It is the position of the Infectious
12 Disease Society of America, that in some cases the
13 basis for the guidelines do not satisfy the legal
14 requirements. Thus, the IDSA believes that the
15 guidelines must be revised to reflect current medical
16 and scientific data.

17 On behalf of the IDSA, I have carefully
18 outlined the multiple concerns about and suggestions
19 regarding the proposed guidelines for HEPA filter
20 particulate respirators as personal protection devices
21 against tuberculosis. Prioritizing among the
22 recommended measures in the draft guidelines from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CDC will result in the most efficient use of TB
2 transmission controls by health care facilities with
3 limited resources.

4 Impractical or unworkable standards may
5 detract from efforts to protect patients and health
6 care workers. It may interfere with the delivery of
7 care and increase the cost without evidence of
8 reducing risk. The enormous cost implicit in the
9 widespread adoption of the OSHA mandate for HEPA
10 filters would seriously and inevitably decrease
11 funding of a variety of hospital and community-wide
12 tuberculosis control programs.

13 Such drastic measures, particularly in the
14 areas of low prevalence, will divert resources for
15 more important and necessary strategies for the
16 identification and management of TB cases. Thus, we
17 urge the CDC, NIOSH and OSHA to focus on identifying
18 the requirements that have been demonstrated to
19 improve current TB prevention measures.

20 Further, we urge these agencies to
21 immediately support the research needed to answer the
22 questions raised by these recommendations. Many of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 our members participate actively in TB research and
2 stand ready to assist in this important effort. Thank
3 you.

4 MR. MATTHEWS: Could I ask you one
5 question?

6 DR. SALATA: Sure.

7 MR. MATTHEWS: With respect to your
8 discussion about the legal standard for supporting an
9 Agency position, there really -- I know this is a
10 complicated area, but there are really sort of three
11 pieces hovering in mid-air right now. One is the
12 NIOSH Part 84 module one that we're working on now.
13 Then there is the CDC recommendations of October 12,
14 1993, for preventing TB transmission in health care
15 settings, which has gone out as a draft document for
16 comments.

17 And then the third piece of it is the OSHA
18 enforcement standards, which is currently raising the
19 concern which we've already received in both of these
20 other two contexts about the HEPA filter enforcement.
21 Now are the remarks you made about the sub -- I really
22 have two questions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 The first one is, are you addressing with
2 respect to your comments about a legally enforceable
3 standard, are you putting that to what we are doing
4 now on Part 84 or are you doing that with respect to
5 the CDC draft recommendations for TB or are you
6 talking about the OSHA enforcement?

7 DR. SALATA: This is more related to the
8 CDC draft guidelines. However, I think it's important
9 to understand that the recommendations made through
10 this effort are all interwoven and everything derives
11 from each other, and I think we need to recognize
12 that. But my comments were really based on --

13 MR. MATTHEWS: Well again, I don't want to
14 lead us too far down --

15 DR. SALATA: Sure.

16 MR. MATTHEWS: -- the tuberculosis trails
17 in this meeting, which is really focusing on filter
18 standards --

19 DR. SALATA: Right.

20 MR. MATTHEWS: -- but in the October 12th
21 CDC draft guidelines, it recommends a proposal for
22 protection against TB in workplaces. It recommends a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 proposed standard of 95 percent filter efficiency at
2 a one micron particle size. Are you supportive of
3 that or --

4 DR. SALATA: We are supportive of that.

5 MR. MATTHEWS: Okay.

6 DR. SALATA: However, currently, to meet
7 that standard or recommendation, the HEPA filter masks
8 are the only ones that apply. In that respect, my
9 comments are really related to the support that we
10 give NIOSH in broadening this certification process,
11 which I think will then have ramifications to the
12 other agencies. And so we are very supportive of this
13 process right now.

14 MR. MATTHEWS: Okay, and I was just
15 wanting to clarify while the short-hand code,
16 certainly in the hospital infection community, is at --
17 -- CDC is recommending HEPA in TB circumstances, that's
18 not quite what was said, is we're recommending a
19 respirator that has this particular property of 95
20 percent filtration at one micron particle size.

21 Then we go onto say the only thing
22 currently on the market which we can recommend now is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 HEPA due to some other problems that we articulate in
2 that document.

3 DR. SALATA: The further complexity is
4 that OSHA had made the recommendation as of January of
5 this year that hospitals begin the process of this,
6 with enormous costs already having been undertaken
7 with respect to this.

8 MR. MATTHEWS: Yes.

9 DR. SALATA: So we again appreciate the
10 efforts of NIOSH at this level. We think the
11 ramifications will be broader and obviously affect all
12 these other agencies, and we need to be very broad-
13 minded about the ramifications that are decided here
14 as to what areas, such as health care, tuberculosis
15 control will have in the end.

16 MR. MATTHEWS: Well, just one final
17 comment that I have. I agree with you that this is
18 interwoven and in our discussions we talk about it
19 sort of which comes first, the chicken or the egg,
20 piece of it. We're going to have this -- I mean, we
21 had this in the discussion with fit testing. I'm sure
22 it will come up with respect to protection factors.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 You have it with respect to what is OSHA going to do
2 on a TB standard that was alluded to earlier this
3 morning.

4 It is very difficult -- we've already
5 amply demonstrated the difficulty of trying to change
6 all these regs in one big bolus that's 500 pages high.
7 Okay, so we're trying to break it into digestible
8 modules. Obviously one of the disadvantages of doing
9 that is you can say, well what about this other piece
10 down here and how can you solve that?

11 I think the Agency's position is that
12 we've got to roll up our sleeves and start, as Rich
13 said in his initial overview, going at what we think
14 is the most important issue to address first, and then
15 take these, as Dr. Rosenstock says, on kind of a
16 continuous basis. We will continue to refine this.

17 But I agree, these are interrelated and I
18 appreciate your comment on that. Any other comments
19 or questions from the panel? Okay.

20 DR. SALATA: Thank you.

21 MR. MATTHEWS: Thank you very much.
22 Probably that's a nice segue for Walter Hierholzer of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Infection Control Advisory Committee.

2 DR. HIERHOLZER, JR.: Good morning. I'm
3 Dr. Walter Hierholzer. I'm the Hospital
4 Epidemiologist at Yale Haven Hospital in New Haven,
5 Connecticut. I'm here today as the Chairman of the
6 Hospital Infection Control Practices Advisory
7 Committee, called HICPAC --it's not really a hiccup,
8 but close -- to offer support and comment on the
9 proposed rule for certification of respiratory
10 protective devices.

11 Since HICPAC is not a common word, why
12 I'll take a second to explain that HICPAC is a 12-
13 member Federal advisory committee chartered in 1990 by
14 the Secretary of the Department of Health and Human
15 Services to provide advice and guidance to the
16 Director of CDC and the Director of the National
17 Center of Infectious Diseases regarding the practice
18 of hospital infection control and strategists for the
19 surveillance, prevention and control of nosocomial;
20 this is, hospital related infections in U.S.
21 hospitals.

22 HICPAC thanks NIOSH for the opportunity to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 comment on the Notice of Proposed Rulemaking on
2 respiratory protective devices. HICPAC would note
3 that nosocomial infection control programs have always
4 been concerned not only with the transmission of
5 infectious diseases between patients, but also with
6 the bi-directional spread between patients and health
7 care workers.

8 At its recent meeting earlier this month,
9 HICPAC began the process of developing the
10 organization for the fifth of its current guideline
11 reviews. This guideline will be devoted to the issues
12 of infection control and the health care worker, and
13 we look forward to NIOSH's assistance with that
14 document.

15 For the purposes of today's discussion,
16 HICPAC is especially interested and concerned with
17 those portions of the proposal which reflect on the
18 personal respiratory protective devices applicable to
19 the use in the care of patients with infectious
20 pulmonary tuberculosis.

21 The resurgence of this airborne disease
22 and the increase in its multi-drug resistant forms has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 led to several well-described epidemics of nosocomial
2 spread of this disease to other patients and to health
3 care workers resulting in serious disease, and in some
4 cases death.

5 HICPAC strongly supports the routine use
6 of the 1990 CDC guidelines for the control of
7 tuberculosis and with most portions of the proposed
8 draft of the 1993 division and we have joined in the
9 review and comment on that revision.

10 HICPAC is of the consensus opinion that
11 the respiratory protection recommendations detailed in
12 Section G of the October 12, 1993 draft guidelines for
13 preventing the transmission of tuberculosis in health
14 care facilities and the performance criteria and other
15 technical specifications in supplement four,
16 respiratory protection of the same document not only
17 meet, but probably exceed the requirements for
18 respiratory protection and personal safety for health
19 care workers caring for patients with infectious
20 tuberculosis.

21 This is especially so when these features
22 of a personal respiratory protection program are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 combined with the appropriate administrative and
2 engineering controls outlined in the same document.
3 HICPAC feels that this opinion is supported by
4 evidence in the historical information of separate
5 institutions and in successful documented control of
6 several epidemic outbreaks of tuberculosis
7 investigated and reported by the CDC wherein
8 transmission to health care workers was controlled by
9 appropriate application of the 1990 guidelines using
10 disposable personal respirators which are less
11 efficient than those recommended in the 1993 draft
12 proposal.

13 We are especially gratified in the current
14 document that NIOSH is recommending that certification
15 of particulate respirators applicable to TB be given
16 some priority in the hope that the time line to
17 manufacture and certification of a disposable personal
18 protective respirator for the use in the care of TB
19 patients will be as short as possible.

20 Currently we are caught in a very
21 difficult situation in health care. In order to
22 protect our workers based on the 1993 draft TB

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 recommendations and to meet the requirements of law
2 under OSHA standards, we are forced to obtain and use
3 a form of protective respirator that is technically
4 excessive, not designed for clinical use, expensive,
5 limited in configuration and in inadequate supply.
6 Obviously this solution is not well suited to our
7 needs.

8 As you know, this has come about since
9 OSHA, under its General Duty Clause, is now requiring
10 the routine use of HEPA filter respiratory protective
11 devices, since unfortunately they're the only NIOSH-
12 certified devices meeting the content of the 1993
13 draft proposal.

14 The difference in cost between the
15 currently available HEPA devices and the projected
16 cost of simpler devices mean the technical
17 specifications of the draft 1993 TB guidelines would
18 appear to be three to five-fold. And the move from
19 the ones that we were using previously to the HEPA
20 filter is ten-fold.

21 For an institution the size of New Haven
22 Hospital, approximately 900 beds, where we evaluate 70

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 patients each month for TB, the difference in cost
2 would be between \$150,000 and \$600,000. Fortunately
3 in our environment only one of these 70 patients is
4 confirmed to have active, potentially infectious TB at
5 the end of our diagnostic evaluations. Unfortunately
6 the trick is to guess which of the 70 it is.

7 The expense to adequately protect
8 ourselves from infection during the care of that
9 single TB patient is obviously high. Nonetheless, we
10 are willing and feel that we must handle each
11 potential case appropriately including respiratory
12 protection until the diagnosis is excluded.

13 However, we must do so efficiently and
14 with optimum methods if we are to avoid excessive
15 costs and needless transfers of critical funds from
16 other programs, including the critical administrative
17 and engineering controls that are the most productive
18 features of TB control.

19 With the production and certification of
20 an appropriate TB respirator mask, such as the Type C
21 that you're proposing, we would ask for assistance in
22 developing highly efficient and easily implemented

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 training and fit testing protocols. These protocols
2 should not only provide the details for initial
3 training and fit testing of health care workers, but
4 also guidance on easily applied maneuvers to assist
5 the health care worker with appropriate seating or
6 fitting of the mask on each use.

7 Finally, as with the introduction of all
8 new devices, we would argue for at least a brief
9 period of appropriate field testing in pilot
10 institutions before final introduction. This testing
11 should include both fit testing and proposed in-use
12 protocols and should continue in some form of post-
13 marketing surveillance to identify potential problems
14 and improvements.

15 We do not suggest a significant delay in
16 introduction as a result of this evaluation, but wish
17 to avoid potential accidents within our user
18 population as a result of unrecognized product
19 disfunction or misuse.

20 HICPAC will be delighted to continue work
21 with NIOSH and other collaborators, like the CDC, and
22 other professional groups, in this and other projects

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 in the area of infection control. We again thank
2 NIOSH for the opportunity to comment at this time and
3 we will deliver these written comments in the next two
4 weeks.

5 MR. MATTHEWS: Thank you. I guess I sort
6 have the same question to you as to the previous
7 speaker. And again without wanting to drag us far
8 down the decision trees on tuberculosis and health
9 care workers, but I think it's pertinent to ask do you
10 feel like the -- do you feel comfortable with the
11 standard that was articulated in the CDC October
12 document with respect to a 95 percent filter
13 penetration, one micron particle size standard for
14 protection of -- general protection of workers in TB
15 settings?

16 DR. HIERHOLZER, JR.: Our statement was
17 the we feel it not only meets, but probably exceeds.

18 MR. MATTHEWS: Okay. Yes, okay.

19 DR. HIERHOLZER, JR.: And so, we're
20 comfortable with the compromise.

21 MR. MATTHEWS: And certainly your point is
22 well taken that both hospital employers and employees

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 are a little bit trapped in a situation of equipment
2 that's been on the market, that's been regulated under
3 these rules, for 22 years now doesn't quite fit the
4 situation that you have to deal with. And we
5 appreciate --

6 DR. HIERHOLZER, JR.: The usual
7 manufacturer and user industry-wise depend on the
8 durability of the mask, which is quite satisfactory.
9 We have to deal with the infection control problems of
10 the mask, which means that if surfaces are
11 contaminated with microorganisms that are a problem to
12 other patients in its use.

13 So the surface characteristics that aren't
14 cleanable, give us a problem. Then we have to throw
15 away a very expensive mask and so on.

16 MR. MATTHEWS: Okay, well we understand.
17 Bob, do you have a question?

18 DR. MULLAN: Yes, I just wanted a little
19 clarification on your cost figures. You said that it
20 would cost you between \$150,000 to \$600,000. Is that
21 per year?

22 DR. HIERHOLZER, JR.: Per year.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. MULLAN: And that's to go from
2 basically a one dollar dust/mist mask to the HEPA?

3 DR. HIERHOLZER, JR.: Yes.

4 DR. MULLAN: So that's like 120,000 masks
5 per year then?

6 DR. HIERHOLZER, JR.: Yes. We figure that
7 we have a minimum of 20 patients, health care worker
8 patient contacts, in each 24 hour period. And we're
9 suggesting that there's a certain length of time that
10 it takes us to decide which of these 70 patients has
11 infectious tuberculosis and the product of that is
12 increased costs.

13 That doesn't including fit testing costs,
14 which we would apply on top of these. Now the cost
15 will vary according to whether or not we feel we can
16 use the mask for one day or obviously for eight days
17 or a month. It's very difficult to use the currently
18 available mask for more than a shift without being
19 concerned about its other potential biological
20 properties in terms of contamination of surfaces and
21 so forth.

22 MR. MATTHEWS: Any other questions or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 comments? Okay. I have 12:20. Perhaps we should
2 proceed this way. There was a person that signed up
3 to do a presentation, a walk-on. Is that person here
4 now? Could you identify yourself?

5 MR. RUTLER: Joe Rutler of Technol.

6 MR. MATTHEWS: If you would like, we've
7 got -- maybe we ought to break for lunch now. I don't
8 want to hotbox you unnecessarily. Let us break for
9 lunch now. We're running ahead. We were going to
10 ISEA after lunch and if we could work to perhaps fit
11 you in either before or after the ISEA presentations
12 this afternoon if time permits. So why don't you see
13 me at the start of the afternoon session and we will
14 straighten that out?

15 Okay, I have 12:21. We're a little ahead.
16 Let us start back here promptly then with the time on
17 the agenda at 1:45 and proceed through this. Thank
18 you very much.

19 (Whereupon, the proceedings went off the
20 record for a lunch break at 12:21 p.m. and resumed at
21 1:46 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 1:46 P.M.

3 MR. MATTHEWS: Just one housekeeping
4 comment. If the presenters have written material or
5 overheads, if you could, the transcriber here would
6 appreciate if he could be given a copy. It would help
7 make the -- expedite the translation for the
8 transcript.

9 Are we ready to start? I have 1:46, so
10 why don't we get underway? What we are tentatively
11 planning on doing is having ISEA, who by the way
12 represents, I guess, 16 manufacturers, so they're
13 combining a lot of comments from a lot of different
14 units that could otherwise ask for single time.

15 What we plan to do is as Dan Shipp
16 indicated early on, there are about five different
17 segments and they will present a segment on a
18 particular subject. I'm not quite sure what they are,
19 but we will then respond with each particular -- have
20 a break and respond to each particular segment and
21 then go on and again have responses and go that way.

22 Also, the gentleman who represents -- what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 is it, Techmar, Technol? We will try to fit you in
2 after ISEA and get you taken care of today, okay? All
3 right. ISEA, go.

4 MR. SHIPP: Good afternoon. I'm Dan
5 Shipp, President of the Industrial Safety Equipment
6 Association, also known as the ISEA. The members of
7 the ISEA support the efforts of NIOSH to publish a
8 standard for respirator certification that will
9 provide manufacturers and end users alike with clear,
10 succinct and workable criteria for evaluating the
11 effectiveness of filters for particulate respirators.

12 ISEA is the leading national association
13 for manufacturers of personal protective equipment and
14 clothing. Since its founding in 1933, the ISEA has
15 been dedicated to protecting the health and safety of
16 workers at factories, construction sites, farms and
17 health care facilities.

18 Among the ISEA member companies are 18
19 manufacturers of respiratory protection products
20 including all the product categories that would be
21 affected by the proposed 42 C.F.R. 84. Let me make a
22 comment here to try to keep some perspective on these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 issues as we see them. We are sensitive to the need
2 for respiratory protection against tuberculosis and
3 other airborne pathogens. ISEA members manufacture
4 and market these products, and several years ago the
5 Association organized a health care worker protection
6 section to focus on issues affecting this segment of
7 industry.

8 Still, the vast majority of air purifying
9 respirators are, and will continue to be, used in
10 other industries. Our comments and recommendations
11 therefore will cover this broad range of uses.

12 The ISEA, whose members produce 95 percent
13 of the respirators manufactured in the United States,
14 has been an ongoing participant in NIOSH's attempts to
15 revise its existing respirator certification criteria,
16 beginning with the proposed standard released by the
17 Agency in 1987.

18 This rulemaking is vitally important to
19 manufacturers and end users as it will update the
20 criteria currently codified at 30 C.F.R. 11 to mandate
21 that respirators meet stringent technical requirements
22 and provide maximum protection to workers exposed to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 harmful airborne contaminants.

2 The ability of manufacturers to retool
3 their operations to produce new, higher efficiency
4 respirators will be determined in large part by the
5 implementation of NIOSH requirements as they appear in
6 the Agency's final rule. The ISEA supports the
7 Agency's efforts to update and strengthen its
8 certification criteria, but urges NIOSH to do so in a
9 way that will allow the manufacturing industry
10 adequate time to develop products that meet the new
11 standards.

12 We also ask that NIOSH consider carefully
13 the requirements it incorporates into its final rule
14 so as to not disable manufacturers with overly
15 burdensome and unattainable criteria.

16 The ISEA supports improvements in proposed
17 filter performance requirements. During the past
18 seven years, NIOSH has introduced a number of proposed
19 respirator certification criteria, many of which went
20 beyond mere filter performance levels. In 1987, NIOSH
21 published a proposed revision to its current
22 respirator certification standard.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 This proposal was viewed widely as an
2 inaccurate reflection of the state of modern science
3 and technology and an unworkable and overly burdensome
4 standard for manufacturers that would not provide
5 measurable benefits to the end user. Several unwieldy
6 proposals were prepared subsequently by NIOSH and the
7 ISEA objected to each of them.

8 Although the ISEA has not always agreed
9 with positions taken by NIOSH, we have tried to work
10 closely with the Agency in order to reach a consensus
11 on the certification requirements that ultimately
12 would appear in the proposed rule. For example, on
13 March 21, 1991, ISEA provided NIOSH with an extensive
14 compilation of workplace protection factor studies
15 measuring the effectiveness of particulate respirators
16 at various levels of airborne contaminants.

17 There's valuable information in these
18 studies to assist NIOSH in its thinking in the present
19 rulemaking, as well as for other modules.

20 The proposed filter performance criteria
21 are, in part, a reflection of this continuing dialogue
22 that ISEA has attempted to maintain with NIOSH. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Agency has modified several elements of the proposed
2 criteria that ISEA objected to in the 1987 version.
3 For example, in earlier proposals, the manufacturer
4 was required to obtain certification for protection
5 against both liquid and solid particulates, whereas
6 NIOSH's current proposal allows for separate
7 certification for either solid or liquid and solid
8 particulates.

9 In another example, the statistical
10 handling of test data in earlier proposals used a
11 sample size ISEA considered too small, whereas NIOSH's
12 current proposal would require 30 samples rather than
13 three or six.

14 The ISEA recognizes and appreciates
15 NIOSH's willingness to understand the suggestions and
16 concerns of manufacturers. Likewise, we appreciate
17 the challenges that NIOSH has posed to manufacturers
18 and their attempt to provide worker protection in the
19 context of a feasible certification standard.

20 Respirators are a critical asset to
21 protecting workers. Respiratory protective devices
22 are an invaluable component of any work place health

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 and safety program. We recognize the established
2 hierarchy of controls where an employer looks first to
3 engineering controls to eliminate or mitigate
4 occupational hazards.

5 In certain situations, however, workplace
6 conditions dictate that engineering controls are not
7 feasible and an alternative means of providing
8 protection must be used. This is especially true in
9 many construction, agricultural, mining and maritime
10 workplaces.

11 Where engineering controls would fail to
12 provide adequate protection or are not otherwise
13 feasible, respirators and other personal protective
14 equipment are recognized as an effective means of
15 protecting employees against the dangers of the
16 workplace. In other instances, equipment failure or
17 routine maintenance operations may necessitate the use
18 of respirators.

19 The effectiveness of respirators was
20 demonstrated by the workplace studies that ISEA
21 provided to NIOSH in 1991. As an added benefit,
22 respirators present a less costly alternative to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 capital intensive of engineering controls. The degree
2 of protection that a particular respirator provides is
3 dependent upon a number of factors, one of which is
4 filter performance.

5 Because we recognize the value of well
6 engineered performance in respirators, the ISEA
7 considers this rulemaking to be of critical importance
8 to the industry and to the end user. The member
9 companies of the ISEA share NIOSH's goal of protecting
10 workers from respiratory hazards in the workplace, and
11 see this module on filter performance as the first
12 step towards bringing the Agency's certification
13 criteria up to date with modern science and
14 technology.

15 Now, if I may, I'd like to turn our
16 presentation over to the experts from ISEA member
17 companies who will discuss specific parts of the
18 proposed rule. And I understand that they will have
19 questions after each of them makes his presentation.

20 MR. MATTHEWS: That's fine.

21 MR. SHIPP: First of all, we'll have Barry
22 Phillips of Scott Aviation who will cover the ISEA's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 view of the modular approach, inter-agency
2 coordination, international harmonization, industry
3 empowerment and the grandfathering provisions.

4 Next will be Bill Newcomb of North Safety
5 who will discuss the proposed testing parameters and
6 filter efficiency. Next will be Michael Bennett of
7 Racial Health and Safety who will discuss requirements
8 for powered air purifying respirators and test
9 statistics, and Don Wilmes of 3M Company will discuss
10 fit testing and the assigned protection factors.

11 And I'd like to turn it over to Barry
12 Phillips now if I may.

13 MR. PHILLIPS: Thank you. Good afternoon.
14 I'll provide a set of the slides as well when we leave
15 here.

16 MR. MATTHEWS: Thank you.

17 MR. PHILLIPS: As introduced, I will start
18 off with discussing the modular approach, and would
19 like to again emphasize that ISEA is focusing on the
20 effect that filter performance and respiratory
21 protection has on the broad base of users of
22 respiratory protection, understanding also that there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 are benefits that can be provided to specific segments
2 like the health care industry.

3 ISEA supports the modular approach to
4 rulemaking. Basically, we're looking at it -- we see
5 it as an innovative aspect to rulemaking with some
6 benefits because of being results-oriented and some
7 measurable successes that we look forward to seeing
8 down the road.

9 The modular approach provides some of the
10 benefits shown in previous attempts towards
11 rulemaking. Because of the attempts to provide one,
12 large comprehensive rule, we've had elements that have
13 potentially caused confusion and who were overly
14 excessive to both users as well as manufacturers and
15 also to the regulating community.

16 In some cases, there were inaccurate
17 reflections of current science and technology;
18 overall, overly burdensome potentials again for both
19 users and on the manufacturing end, and in some cases
20 limited user benefit that could be caused by overall
21 confusion, outdated due to time frames involved, and
22 the lack of coordination between other agencies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 The incremental approach provides some
2 additional feasibility by going with steps rather than
3 one overall regulation. You have the opportunity for
4 focus in working through regulation and as far as
5 focus on requirements that are best needed at certain
6 times. There's also enhanced workable rulemaking
7 process due to some of this focus as far as resources
8 and available activities, and manufacturing time to
9 develop products that incorporate most current
10 technology, as well as meeting the requirements of
11 updated certification rulemaking.

12 Through the modular approach, we see
13 benefits to all interested parties again through a
14 more efficient rulemaking process. This should
15 provide us measurable progress through the succession
16 of modules, increase motivation within NIOSH because
17 we'll be able to see or should be seeing
18 accomplishments as we go through the steps, and
19 enhanced external relationships with the regulated
20 community because of these positive, progressive
21 results.

22 The modular approach does provide focus,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 focus on modules perhaps making them easier or more
2 easily understood, this at the user level as well as
3 at the manufacturer level and in the regulated
4 community give us an opportunity to expedite the
5 rulemaking process, focus resources. And this could
6 be focusing resources throughout industry, the
7 manufacturing side, as well as focusing resources
8 within the regulated community and within the
9 regulators. So you've got focus on what task is at
10 hand, and then overall opportunities as far as
11 cooperative development as we progress through
12 modules.

13 With the modular approach, we see that
14 there are key elements to the potential success of
15 such an approach. And the first is sequence, the
16 second being timing. As far as the sequence, ISEA
17 does support the sequence with some minor
18 modifications, the sequence as published.

19 We'd like to see the addition of a PAPR
20 module. This will be discussed in more detail later
21 on -- the addition of gas and vapor particulate
22 specific and addition of the airline combination

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 respirator as a specific module.

2 A concern as well with the PAPR, gas,
3 vapor and particulate modules, would be to address
4 those prior to APS and the concern here is developing
5 new protection factors without having performance
6 requirements established. We'd like to see the
7 performance requirements so we can develop what the
8 protection factor would be.

9 As far as timing, we are recommending that
10 a five-year completion schedule is submitted and this
11 so that we have an overall completion of the complete
12 respirator rule that happens within a time frame that
13 gets completed prior to obsolescence. At it gives you
14 then the opportunity to address and update modules in
15 the future as needed. And we propose that NIOSH is
16 the project manager for this and maintains this five-
17 year proposed schedule.

18 We do have some concerns with the modular
19 approach and that would be with some of the
20 ambiguities as far as we're able to determine from the
21 information that's available to us. We're concerned
22 with how the modules will interrelate. As one module

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 is established and promulgated, a future module may
2 affect the performance requirements or certain aspects
3 of a specific module that's previously been put in
4 place, and therefore, what type of effect does that
5 have on the definition of what that respirator should
6 be, the performance requirements, perhaps whether it's
7 APS or those sorts of things. There needs to be a
8 definition as far as how they will overlap.

9 Also as far as the overlap, based on the
10 schedule, it does appear that some modules will be
11 worked on at the same time. And from that standpoint,
12 we're concerned to be sure that the module process
13 continues that there are the appropriate resources to
14 allow these modules to be worked on when there is some
15 overlap in time frame.

16 As far as the interrelations, the R & D
17 costs and the retooling costs that can be associated
18 at the manufacturing end do create some concern. If
19 there is a future module that may have an effect on a
20 module now in progress, there may be the temptation to
21 wait until the future module comes into play because
22 of the effect one has on the other and the excessive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 costs that may be incurred in having to redesign
2 something that was just redesigned and retool a
3 manufacturing facility that had just been retooled.

4 And then lastly the grandfathering
5 relationships, what will the grandfathering
6 relationships be between modules as modules progress?

7 Additional opportunities in the modular
8 approach: we see some opportunity for open
9 communications and we would like to submit that open
10 communications, we believe, is key in the development
11 of these modules as we move forward. And that would
12 be communications within the regulated community,
13 within the manufacturing community, the end-user
14 community and the remainder of the respirator
15 community academia and the like.

16 This would help reduce the potential of R
17 & D costs and laboratory costs. These costs are
18 expensive on manufacturing, as well as on the
19 regulated community or regulators. In open
20 communications, we can all coordinate our efforts
21 towards better development of technology towards the
22 advancement of respiratory protection in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 workplace, and maintain limited -- or focus on
2 minimizing costs in different directions.

3 Also avoid unrealistic and ambiguous
4 requirements as far as an overall proposed rule
5 requirement or test certification requirements. Focus
6 on R & D, again this can be focused between resources
7 that are available. This should help expedite
8 advancements in technology and protection in the
9 workplace and minimize the end cost impact to the user
10 community.

11 In the inter-agency coordination, we would
12 like to see NIOSH take a leadership role in the inter-
13 agency coordination. One aspect currently, both OSHA
14 and MSHA have held out their respirator publications,
15 their upcoming rules, and are looking for some input
16 from NIOSH. We'd like to see and promote good
17 coordination between the agencies, and with NIOSH
18 taking that leadership role.

19 Also just as a note in regard to MSHA and
20 proposals, currently we have a concern as far as
21 MSHA's reference to Z 88.2 (1969). We would propose
22 that that would be referenced to Z 88.2 (1992).

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Also inter-agency coordination encompasses
2 all the other agencies, and hopefully I haven't missed
3 any, but there are quite a few. And there are the
4 concerns that there are so many contaminant-specific
5 or use-specific respirator rules out there that it
6 does become very confusing in the workplace, and also
7 from the standpoint of manufacturing for those various
8 requirements.

9 We'd like to see again that NIOSH take
10 this leadership role and try wherever possible to
11 coordinate throughout agencies.

12 In line with inter-agency coordination,
13 performance standards and APFs, we'd like to see a
14 link between performance standards to face piece
15 leakage requirements and APFs. OSHA has traditionally
16 handled APFs and we feel that NIOSH is the appropriate
17 agency to evaluate performance in the workplace, and
18 we'd like to see that progress through NIOSH and for
19 NIOSH to determine APFs for respirator classes and
20 this to be done with the input of the users and the
21 input of the manufacturers and the respirator
22 community.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 ISEA, as was noted before, has
2 additionally submitted workplace studies and would
3 like to continue to work with NIOSH wherever possible.
4 We would also like to recommend ANSI's Z 88.2 1992
5 Edition for APFs with more than two dozen workplace
6 and simulated workplace studies utilized for those
7 assigned protection factors, and again to coordinate
8 the APF positions throughout the agencies.

9 This moves us into a user's guide. It was
10 noted that the user's guide is being planned and we do
11 agree that a user's guide is needed, and we highly
12 support it. And this, to inform the regulated
13 community of the modules as they go through, and
14 specifically with the first module on filter
15 performance, and this to also minimize confusion in
16 the workplace.

17 We would need to see an indication
18 relative to the new classes and this to be focused at
19 the user level. And it would highlight applications
20 and selections. What will the applications be for the
21 new classes of respirators?

22 We'd like to see that this user's guide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 though would come out with input from all concerned.
2 This would be the worker community, academia,
3 respiratory protection community, people like the
4 AIHA, manufacturing community and also the regulating
5 community including OSHA, MSHA and EPA.

6 So we'd like to have some review prior to
7 publication to provide this input through some sort of
8 a technical meeting at some point prior to its
9 publication; this to help avoid incorrect
10 interpretation, ensure that there is a user focus to
11 the guide, to ensure ease of understanding since this
12 should be focused to simplify things for the user and
13 their understanding, also to provide a guidance to
14 manufacturers, and again referencing what applications
15 for specific classes of new filter respirators; and
16 then also as a cross reference back to the previous
17 filter requirements.

18 International harmonization, a
19 globalization goal is supported by ISEA and we'd like
20 to see it as far as being compatible wherever
21 possible, understanding some of the limitations and
22 notations that were brought up before as far as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 definition of harmonization and the like. We see some
2 of the benefits as far as lower non-trade tariff
3 barriers from the manufacturing community and
4 potential advancements in export opportunities for
5 U.S. manufactures, also to enhance global
6 understanding of respiratory protection in the
7 workplace.

8 This would include certification and use
9 standards throughout the modular program, so we'd like
10 to see that wherever possible, there is an effort
11 towards harmonization wherever it is possible,
12 understanding the relevance of the terms.

13 In quality programs, developing quality
14 system standards such as the basis of the ISO 9000
15 quality systems. And also as a note in nomenclature,
16 in the respirator or in the filter performance
17 requirements, we would like to suggest that the
18 classes actually be called classes as opposed to
19 types, and they would be in the one, two, and three as
20 far as designation with three being -- or, excuse me,
21 one being equal to Class Three -- or A equal to three.

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 So it's confusing as I'm becoming --

2 (Laughter)

3 MR. PHILLIPS: -- in line with the
4 European standards one, two and three, with three
5 being the highest efficiency.

6 Industry empowerment, NIOSH programs
7 elements, as have been outlined, requirements are to
8 certify respirators to assure quality, investigate
9 field complaints, provide technical assistance, and
10 obviously developing standards. This is somewhat
11 resource intensive and because of this, there are
12 potential limitations in the certification program;
13 and this through providing increasing demands on
14 NIOSH.

15 Understanding this, ISEA supports NIOSH's
16 vision for continuous improvement, industry
17 empowerment, matrix management and goal champions;
18 this to broaden the influence of the certification
19 program and to limit additional resource requirements.

20 As far as focusing on industry
21 empowerment, ISEA strongly supports the idea of
22 industry empowerment; this to expand resources and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 expertise including creating partnerships with the
2 private sector, the opportunity of freeing up federal
3 funds; this which would provide an opportunity for
4 other workplace health and safety improvement projects
5 within NIOSH.

6 Empowerment opportunities we see as far as
7 to take advantage of the private sector as far as its
8 knowledge and its resources, to in turn increase
9 protection of the worker by utilizing consensus
10 standards as highlighted in the OMB circular,
11 utilizing scientific studies, developing through a
12 peer review a formalized peer review process, using
13 qualified labs for performance testing, and utilizing
14 ISO-certified quality auditors for respirator
15 manufacturer quality auditing.

16 Grandfathering: ISEA does note a need for
17 grandfathering, but ISEA does have some concerns
18 relative to the grandfathering provisions as
19 published. Start off with the proposal of a 30-day
20 limit on 30 C.F.R. 11 submittals. ISEA is in support
21 of this 30-day limit and ISEA does feel that 30 days
22 is reasonable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 The two year limit on sale and
2 distribution as proposed, ISEA recommends that a four
3 year limit on the manufacturer sale and shipment. The
4 reason behind this is experience in the European
5 community showing a three year-plus requirement. A
6 previous proposal of NIOSH of five years without a
7 rationale for the change to two years, NIOSH has
8 limited resources to approve respirators, to ensure
9 that there's an opportunity to approve respirators to
10 the new certification standards.

11 There's been shown experience as far as
12 time requirements with the Bureau of Mines transfer of
13 certification; filter media limitations and as far as
14 the R & D development requirements for new filter
15 media; and lastly, certainly to ensure that there is
16 a workplace supply maintained in the marketplace from
17 a concern as far as a respirator not meeting new
18 performance requirements and shelves not being stocked
19 with available respiratory protection.

20 In the proposal, NIOSH will process 30
21 C.F.R. 11 applications previously submitted for six
22 months. There's no reference made for extensions on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 approval. Here the recommendation would be that ISEA
2 recommends two year limitations on extensions
3 affecting filter media. The rationale here is that
4 there may be limitations or effects on respirator
5 manufacturers from the supply of filter media, things
6 that require a change in the filter media that would
7 need to be upgraded.

8 As far as a time frame that we feel that
9 this time frame is sufficient because of the
10 certification backlog, the product upgrades and
11 changes that would be beneficial if the filter
12 supplier had changed, also provide opportunity for
13 broad-based R & D work throughout the respirator
14 community, and adequate time for manufacturing to make
15 the changes over to the new requirements for that
16 segment.

17 As far as for limitation on extensions
18 that do not affect filter performance, ISEA recommends
19 a four year limitation on the extensions in this area
20 where filter performance is not affected. And this
21 would be due to the aspects of some sort of
22 requirement to change, whether it's a change of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 color of a seal, the change of a head-strap, the
2 addition of a gas or a vapor to an existing approved
3 respirator.

4 The purpose of this would be with the four
5 year time period that these respirators should be
6 available for shipment from manufacturing, that there
7 should be an opportunity for those respirators to be
8 enhanced while they are available should the
9 enhancement be made available through additional
10 changes.

11 This would provide the opportunity for
12 workplace enhancements and keep it in line with the
13 time frame that those respirators are available
14 through manufacturing.

15 There's also noted in the proposal a two
16 year limit on sales and distribution, and this portion
17 I just want to focus on distribution. We propose that
18 distributors may continue to distribute and users may
19 continue to use. The rationale here is that the
20 certification does not expire with the promulgation of
21 the new certification requirements.

22 In addition, distribution is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 controlled by the manufacturing community. There is
2 a potential for costly returns if there was a cut-off
3 date on distribution. This would be hardly difficult
4 to regulate, but it could be potentially a return call
5 coming back from the user community and back through
6 the distribution community, which could be highly
7 costly to the marketplace.

8 We also want to ensure that there's a
9 maintained workplace supply. If there's a belief that
10 a respirator certification were to expire, there's a
11 concern that distribution would not stock appropriate
12 inventory because of the belief that it would become
13 devalued or users would not stock inventory from the
14 fact that it may not -- it may be believed that it
15 would no longer be certified.

16 In addition to, and as a footnote to all
17 the grandfathering provisions, if there's an
18 opportunity for development and certification in an
19 expedited manner, but quicker than the recommendations
20 here, the market will certainly demand to what
21 direction the respirator requirements become, as far
22 as the purchase requirements of the user community.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. MATTHEWS: Can I just make sure I
2 understand what you're saying in this slide? The
3 Agency proposal is two year sales and distribution
4 deadline.

5 MR. PHILLIPS: Right.

6 MR. MATTHEWS: But what you're saying is
7 knock out distribution and use. And in your earlier
8 slide, you're proposing four years on sales?

9 MR. PHILLIPS: Correct. Correct, on the
10 sales end, yes, four years from the shipment from
11 manufacturer.

12 MR. MATTHEWS: Sorry to interrupt.

13 MR. PHILLIPS: Okay, that's fine. Lastly,
14 it wasn't, I think, noted that I would hit this topic
15 as well, but here it is. I have economic impact and
16 the concern with ISEA is basically that the economic
17 impact was underestimated as far as how it was
18 presented in the preamble to the proposed rule.

19 And this may be understated from the
20 standpoint of limited information that was previously
21 available. ISEA ran an internal survey and from the
22 responding members, we estimate an impact in excess of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 \$100 million; this based on information that is
2 currently available, estimates on R & D, estimates on
3 plant retooling and estimates on material.

4 Understanding that there still are a great
5 deal of variables, what ISEA is recommending in
6 reference to the economic impact is ISEA recommends a
7 closer working relationship with NIOSH; this to enable
8 focused resources and cost effective rulemaking and a
9 full understanding of what effect certification
10 requirements will have on the manufacturing and user
11 community.

12 We're also proposing opening the
13 efficiency ratings; this to meet a larger range of
14 user requirements and also to reduce the overall cost
15 impact. And I'd like to reference in this point that
16 there seems to be some confusion as far as how the
17 cost impact is perceived. Certainly there may be some
18 benefits to the health care industry in going from a
19 HEPA filter requirement to a Type C or Three or One,
20 whatever the classification turns out to be.

21 There may be some cost potential benefits
22 to the health care community. The health care

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 community is just one of many communities, respirator
2 communities, workplace communities, that are in need
3 of this protection, and the efficiency rating, as will
4 be discussed a little bit further, may be tighter than
5 needed for some of the other workplace requirements
6 and therefore, adding a great deal of cost to those
7 broad workplace requirements.

8 That's the end of my segment. I guess I'm
9 here for questions first.

10 MR. MATTHEWS: It might make sense if we
11 go sort of with respect to your -- and I very much
12 appreciate your overview and your very logical laying
13 out of the issues. As I see it, you've got comments
14 and recommendations on a user's guide, on
15 international harmonization, on the industry
16 empowerment and then the grandfathering and economic
17 impact. Is that about --

18 MR. PHILLIPS: Right, that's correct.

19 MR. MATTHEWS: -- the broad categories?
20 It might be better if we try to go a piece at a time
21 on that and see if we have comments -

22 MR. PHILLIPS: Certainly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. MATTHEWS: -- or questions or
2 responses on that because you've put a very wide set
3 of recommendations --

4 MR. PHILLIPS: Yes, I understand there are
5 five topics there. Really so, yes.

6 MR. MATTHEWS: -- in a concise amount of
7 time. But I think probably the group would like to
8 engage on some of these points. If that's acceptable,
9 why don't we -- do we want to talk about the user's
10 guide on that first? Any comments on that, Rich?

11 DR. METZLER: Well actually, you said a
12 great deal in a very short time. It's difficult to
13 comment on all of your points, but one of the things
14 that impressed me was your call for better
15 partnerships, improved communications among all of the
16 players interested in protecting workers. Some of the
17 points that I note here that actually relies upon a
18 positive, better working, relationship would be with
19 regard to modular approach now, would be the sequence
20 and timing of the modules, the priorities on modules
21 and which modules are picked, the module schedules.

22 Open communications was a major point with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 regard to in the leadership and communication among
2 all the partners and leading up to, at least I thought
3 in the first half of your presentation, was a user's
4 guide plan. This whole philosophy that we have been
5 creating, which is exemplified in the modular
6 approach, is really based upon the belief that safety
7 and health improvements over the years have been made
8 through a number of major efforts.

9 One of them is labor uniting for a common
10 cause to demand more protective equipment. Another
11 was technological improvements. A third was better
12 training. Certainly a positive impact was felt
13 through better standards and regulations, and we think
14 the future of better quality partnerships will be
15 needed to enhance safety and health.

16 So we, in fact, do support and agree with
17 your requests and are intending to increase the number
18 of public meetings, increase the forms of our
19 communications, so that we can receive information
20 from all interested parties prior to preparing a
21 proposal.

22 With regard specifically now to the user's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 guide that Gene mentioned, we agree that there is a
2 need for guidelines for, again, many segments of the
3 industry, but particularly workers, in how to
4 transition from Part 11 respirators to Part 84
5 respirators. And we think that a team partnership
6 among all of the parties to produce that guideline is
7 appropriate.

8 MR. MATTHEWS: How about -- do you want to
9 just move onto international harmonization? Any
10 other comments on the user guide concept? I think
11 we're in agreement. We clearly need to sit down with
12 the interested parties and come up with a document
13 that doesn't -- it's not in our interest for it to be
14 full of errors and confusing, and it needs to be
15 focused and understood.

16 So we're -- I think we're in agreement on
17 that.

18 DR. METZLER: A comment on the schedules,
19 the Institute's interest in making improved protection
20 available to U.S. workers as soon as we can possibly
21 do so, recognizing that foreign workers are already
22 receiving respirators that can perform at the higher

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 levels and they have the benefit of the greater
2 protection of the respirators in the U.S. which are
3 not meeting these particular standards, do not have
4 that same opportunity for the better level of
5 protection.

6 With regard to the specific time frames,
7 we think that it is necessary to receive detailed
8 information on the impact to workers and the overall
9 manufacturing community for providing these products
10 in a timely way and yet, meeting worker protection
11 needs as soon as we can get them there.

12 MR. MATTHEWS: And we understand your
13 point about the nomenclature. We've got to come up
14 with a way so that we ultimately end up at the same
15 place with the European Community. So we hear what
16 you're saying about A, B, and C might be recast Three,
17 Two, One respectively.

18 MR. PHILLIPS: I understand that they
19 can't be exactly the same because there are some
20 differences.

21 MR. MATTHEWS: Right. So we hear and
22 appreciate your comment on that piece of it. Industry

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 empowerment, ISO quality?

2 DR. METZLER: On industry empowerment, we
3 do agree that Institute resources never seem to be
4 enough to address all of the improvements needed. And
5 we need to find ways that the talents and resources
6 available in the community's private sector:
7 academia, private laboratories, those talents that are
8 out there can in some way form in this partnership so
9 that we can be, in the most timely way, incorporating
10 new technologies and addressing the emerging hazards.

11 We will be exploring with all interested
12 parties forums for making that empowerment possible.

13 DR. CAMPBELL: Let me ask one question to
14 follow-up on Gene's earlier comments about the class
15 designations. When we were developing this proposal,
16 we talked about the comparison between the European
17 standard notation one, two, and three, and we actually
18 chose to use the letters because we recognized that
19 these were different standards, and that if we had
20 something that was similar to the European standards,
21 that in fact could cause confusion and possible misuse
22 of the respirators because someone may interpret that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to mean that they were the same standards. And they
2 are, in fact, different in many regards.

3 I would just ask you to comment on that
4 thinking and had you thought in those terms as well?

5 MR. PHILLIPS: Right. Well from the
6 standpoint of -- we were discussing it as well as
7 whether it be P1, P2, P3, similar to -- which is very
8 similar. But then again, as I think I brought up, it
9 cannot be exactly the same because they are, agreed,
10 different. But from the standpoint of leaning towards
11 a similar direction, we believe that that will help
12 identify the most efficient, mid-efficiency, lower
13 efficiency range in a similar format and help to
14 create some understanding.

15 In addition, we have Type C airline
16 respirators. We have other things that come in that
17 could potentially add some confusion in using that
18 type of classification.

19 MR. MATTHEWS: Okay. Will that --

20 DR. METZLER: I guess one last comment on
21 your interest in inter-relationships, overlaps and
22 resource availability in identifying and producing the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 modules. We agree with you that all of those are
2 legitimate concerns from many points of view and
3 communications in advance and a schedule that is
4 identified so there is a clear target for all of us to
5 work towards, we think may be one way to mitigate
6 those concerns.

7 We also believe that a process can be
8 established whereby those concerns are taken into
9 consideration in identifying the modules through
10 discussions of this type and others to be able to
11 identify the priorities, schedule them and then
12 everyone will be aware of what targets we're working
13 towards.

14 MR. PHILLIPS: Yes, I understand. I think
15 also understanding the standpoint that if everything
16 was able to be laid out completely already that the
17 complete rule would be done. So we do understand that
18 this is a new process and not everything has been
19 defined at this point, as the emphasis, again, is open
20 communication with all involved to best develop a
21 system that works and provides the most published
22 protection for the workplace.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. MATTHEWS: Well, that now takes us on
2 my playbook up to grandfather. Grandfather is an
3 interesting issue. Clearly I think we're most in
4 agreement with what you say about, we want the
5 marketplace demand to drive the time line here. And
6 we're certainly caught in the dynamic between not
7 wanting to set a grandfather period that is
8 unreasonably short that manufacturers can't meet,
9 there's no product out there, what is the user
10 community going to do? And you've got regulations in
11 place that are making illegal the old equipment. So
12 that is one side of the dichotomy.

13 On the other side, we don't want to set
14 the time line, the grandfather period, up in such a
15 way that it actually provides a regulatory hinderance
16 to the production of better equipment; in other words,
17 that it artificially keeps the older equipment on the
18 market for use where it really shouldn't be there.

19 So we're all, I think, striving towards
20 trying to get that timing down in such a way that we
21 encourage as soon as possible the development of the
22 new equipment and the termination of the old equipment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 in a way that's as least traumatic on everybody as
2 possible.

3 But our over-arching goal here is to
4 protect workers and we're all about providing a better
5 product that will protect workers better. So I think
6 we're in agreement on that. Now how we go about that
7 is clearly not an exact science. I understand you to
8 say you're in support of the 30-day clause for non-new
9 Part 11 applications.

10 MR. PHILLIPS: For brand new -- for
11 complete new respirators.

12 MR. MATTHEWS: And then if I understand
13 your two slides placed together, you are requesting,
14 instead of two-year limit on sale and distribution,
15 move that to four years on sale and let distribution
16 and use sort itself out --

17 MR. PHILLIPS: As it's not controlled by -
18 -

19 MR. MATTHEWS: -- downstream from there.

20 MR. PHILLIPS: Right.

21 MR. MATTHEWS: I mean, I understand that
22 part of it. With that stage set --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. PHILLIPS: Right.

2 MR. MATTHEWS: -- we have questions about
3 this.

4 MR. PHILLIPS: Well, I'd like to make a
5 point as well. I think certainly from the standpoint
6 of a manufacturer, it would be -- if I, as a
7 manufacturer, it would be in my benefit to naturally
8 get out the -- to be, say, the first on the block to
9 say I have a 42 C.F.R. 84 filter respirator.

10 MR. MATTHEWS: And nobody else does.

11 MR. PHILLIPS: And there's a benefit to
12 that from a single manufacturer standpoint. But when
13 you look at what the limitations may be as far as the
14 full certification process to make a broad range of
15 product available for the user community to enable
16 themselves to have the range of selection that they
17 should have for appropriate fit testing requirements,
18 for the range to support the economic range that may
19 be out there for the user community, and also the
20 limitations that may come up as far as design
21 requirements and filter performance capabilities as
22 the rule is finalized.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 So those are the concerns, understanding
2 that there are --

3 MR. MATTHEWS: If I hear what you just
4 said, it's not just a matter of: is one manufacturer
5 ready to go, but the broader question for all of us is
6 how can we meet the need of the user community?

7 MR. PHILLIPS: Right, and enabling a broad
8 range of product available for the user community, and
9 also ensure that there is a time frame in there that
10 is sufficient enough for testing, research and
11 development, whether there's field trials or whatever
12 might be. Those things all do take some time and
13 there are still some concerns as far as what the
14 filter performance requirements will be and which will
15 be discussed a little bit further as well.

16 MR. MATTHEWS: Okay, Rich? Well that
17 takes us then on my notes to economic impact. You
18 indicated an estimate of greater than \$100 million
19 based on member survey, R & D costs and plant
20 retooling. Can you give us a little more --

21 MR. PHILLIPS: Well basically --

22 MR. MATTHEWS: -- nuts and bolts?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. PHILLIPS: -- from the standpoint of
2 the manufacturing end, it's somewhat -- to some
3 extent, it's somewhat difficult to fully define. But
4 I'd like to bring over an example that was brought up
5 this morning about what a user in the health care
6 industry as far as the potential cost for him or his
7 facility from going from one range to another in that
8 very limited user environment.

9 If you take that and imply what the cost
10 may be for the user of a broad range of respirators
11 now that may be forced up in class, as opposed to what
12 we're looking for as health care coming down in class,
13 the cost implication is extensive.

14 As far as what we did within the ISEA,
15 there are some limits on information that is able to
16 be shared naturally from the standpoint, of it's a
17 group of competitors in an industry. But we are able
18 to take a look at what was -- based on the information
19 that was available, what did you foresee as a
20 manufacturer as the cost implications based on what
21 you would have to do as far as procuring new
22 equipment, procuring new material, plant retooling and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the like, and then taking that information and
2 compiling it into a number range to give us an idea of
3 what we felt that impact would be.

4 We also -- I know there was a reference to
5 an '87 comment from ISEA, I believe, you know it was
6 kind of directed towards Moldex and that was their
7 individual presentation. We can go back and research
8 what that was at that point as well. We don't have
9 that information with us today.

10 But what I was referencing in this point
11 is that we do feel that it is underestimated. We are
12 concerned about that. And the main focus from that
13 comes up that there are two key things that we'd like
14 to suggest from that and one is a closer working
15 relationship so everybody is aware of where the things
16 are going and what the cost implications may become
17 down the road and the other is the effect on the
18 filter efficiency and what does that actually do to
19 the cost of the broad range users that are out there?

20 And we'll discuss efficiency again a
21 little bit later on, but the implications on everybody
22 that uses a filter-type respirator and what cost

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 impact may be expected there. So broadening the
2 efficiency to hopefully provide respiratory protection
3 that exceeds the needs and requirements of the
4 marketplace, but also does not enforce as high an
5 economic impact.

6 MR. MATTHEWS: Okay. We can go either
7 way. If you want, we can deal with the -- come back
8 and take the cost, economic impact, in the context of
9 the -- you allude to the fact that you're subsequently
10 going to discuss the filter range issue in another
11 presentation. So we can either come back to that
12 later or engage now, whatever you want to do. Rich?

13 DR. METZLER: I think we can address it
14 further right now. If there's more detailed
15 information that can be provided -- I think one thing
16 I heard this morning was an inherent assumption in the
17 cost estimates that an elastomeric face seal will be
18 needed on every respirator.

19 And, of course, if that went into the
20 economic impact analysis to produce the figures you're
21 working with, it could significantly mislead what that
22 impact might be. So as a matter of public record on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 this rulemaking activity, we need to see specific data
2 in the assumptions that are going into the
3 projections.

4 MR. PHILLIPS: Well, it's -- and this will
5 have to be discussed and brought up as far as the
6 ISEA's standpoint in written comment, as I don't have
7 that information directly in front of me. There also
8 was a concern within the ISEA organization as far as
9 where the numbers came from from your standpoint.

10 And it's difficult to comment on whether
11 those numbers are adequate as well or inadequate if
12 what is the information that supports it from that
13 standpoint also; the main concern again being that the
14 communications are kept up between, so that we are
15 best able to focus on what the economic impact will be
16 as future modules develop and so we're all -- as
17 you're just noting there, that we're all working off
18 the same format.

19 This that was brought up this morning may
20 or may not be a consideration of the range of
21 manufacturers that respond. There are certain things
22 that can be discussed within manufacturers within an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 organization and from the standpoint of specific
2 design requirements, that's somewhat that would be
3 proprietary and obviously wouldn't be discussed within
4 or between manufacturers.

5 MR. MATTHEWS: Okay. What is the next
6 module?

7 MR. PHILLIPS: Excuse me, our next
8 discussion?

9 (Laughter)

10 MR. MATTHEWS: What is the subject? I'm
11 just trying to keep track of time.

12 MR. PHILLIPS: Okay, the next subject is
13 Bill Newcomb.

14 MR. MATTHEWS: Okay.

15 MR. PHILLIPS: Thank you very much.

16 MR. MATTHEWS: All right, let's go ahead
17 then. Why don't we try to do this next one and
18 discussion and then take a break after that? Does
19 that sound reasonable? After module two --

20 (Laughter)

21 MR. MATTHEWS: By the way, they asked me
22 to announce that there's a message board set up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 outside, so check it at the break.

2 MR. NEWCOMB: Thank you. Good afternoon.
3 My name is Bill Newcomb. I'm from North Safety and I
4 am representing the ISEA talking about two subjects:
5 testing parameters and filter efficiencies.

6 Section 84.184 now is titled "Particulate
7 Instantaneous Penetration Test". We feel that this
8 title is a little misleading since the filter
9 penetration is not taken continuously, nor is it
10 necessarily instantaneous. And it's a small point,
11 but we feel that to readers of the article, it might
12 be misleading.

13 One of the major topics of discussion
14 within the ISEA since this module was put forth is the
15 method of aerosol generation. The problem that we
16 have found concerns specifically the DOP oil mist
17 penetration requirements. Back to this in a second.

18 Five members of the ISEA, all having the
19 equipment necessary to generate the particle size as
20 required in the proposal, took samples out of lots of
21 filter material. They were very controlled samples
22 and tested them. These were mechanical filters and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 filters that had an electric fabrication.

2 The mechanical filters were tested with
3 the new proposed test aerosol generator called the AFT
4 and also tested with the old Q-127 DOP generator. And
5 you can see the Type A, Type B, and Type C filter
6 media all gave approximately the same results, no
7 matter which aerosol generation method was used.

8 The new generation method uses a cold
9 nebulized DOP. The old generation method is the hot
10 DOP. With electret materials and materials that look
11 like a Type A filter, it can be seen running the test.
12 At the end of the test, it was down to about 99.9
13 percent efficiency.

14 However, using the hot generator DOP, it
15 was down to less than 99.6 percent. Clearly even
16 though the particle size is the same, the generation
17 method seems to have a different effect on the filter.
18 The same result was noted with the Type B filter
19 material, down to about 98.5 percent with a cold
20 generated and down to less than 95 percent with a hot
21 generated. And as you well imagine, the same thing
22 happens with Type C filters.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 The generation method that was chosen was
2 chosen to be the most penetrating particle size and
3 most deteriorious material to test filters with. This
4 would suggest that there is something wrong with that
5 hypothesis.

6 Getting back to our proposal, we would
7 like to work with NIOSH, the equipment manufacturers
8 and anyone else that is interested, to come up with a
9 reproducible test that is worst-case, that will
10 satisfy the requirements of the standard.

11 Going along with that, we also have had
12 some discussion with NIOSH in the past over the
13 reproducibility of the current test in 30 C.F.R. 11
14 and we certainly would not like to get the same type
15 of problems with this new regulation.

16 I know there's a lot of figures up there,
17 but again, looking at the round robin tests, one can
18 see that at different test sites, the same media in
19 some cases was much different using one apparatus than
20 it was using another apparatus.

21 For instance, A-1 initial penetration,
22 .015 percent Test Site A, the same material Test Site

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 B, similar penetration, Test Site Three, almost
2 doubled, Test Site Four, a little more than half,
3 about two-thirds, and Test Site Five, way out of
4 sight.

5 If you follow across for Type B media an
6 Type C, you find the same type of penetration results
7 which would indicate that the equipment at the present
8 time does not allow testing at various test sites to
9 get the same results.

10 This is extremely critical for the
11 manufacturers considering the new requirements for
12 statistical analysis of results. If the inter test
13 variability is more than the standard deviation that
14 we're allowed on filter tests, then we've got a big
15 problem.

16 Again, ISEA would like to work with NIOSH
17 or with anyone else who is interested to make sure
18 that whatever test is required, it's reproducible on
19 the same piece of equipment in different places in the
20 country or different places in the world.

21 There are a few things that we feel are
22 left out of the regulation which also could cause

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 confusion and cause test results not to be
2 reproducible from one test house to the other. One of
3 them is the volume of the containment cylinder or box
4 or whatever that the filter is put in after pre-
5 conditioning.

6 Our feeling is that if the volume is too
7 large or the filter is left in there too long or not
8 put in there soon enough that any effects that the
9 moisture and pre-conditioning might have could be
10 negated. And in order to make it reproducible, we're
11 suggesting that the volume be specified, the time
12 between conditioning and isolation be specified, as
13 well as a maximum time after pre-conditioning before
14 which the test can be conducted.

15 Another small point that could cause some
16 confusion is a lack of tolerance on the air flow
17 requirement. Right now it's 85 liters a minute.
18 We're recommending that NIOSH consider two percent
19 tolerance on that.

20 We are very pleased to see that NIOSH has
21 dropped the requirement for resistance after loading
22 that was in the previous recommendation. However, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 feel that the resistance requirements that are in the
2 present regulation, proposed regulation, are a little
3 tight for a couple of reasons. One is that the filter
4 efficiencies that we're looking at are much higher
5 than they previously have been.

6 Generally higher efficiency filters are
7 more dense and require greater breathing resistance.
8 In order to keep the filter to a reasonable size, it
9 is requested that the maximum inhalation resistance be
10 moved from 30 to 35 millimeters water column and also
11 that the exhalation resistance be increased from 20 to
12 25 milliliters.

13 One of the respirators that the health
14 care workers have been looking at and using does not
15 have exhalation valves. Exhalation valves allow the
16 exhalation resistance to be lower. If you have to
17 breathe back through the filter media as in a lot of
18 DOP respirators, it's felt that this requirement is a
19 little high.

20 There is also previous history in 30
21 C.F.R. 11 and other regulations which show that higher
22 breathing resistances are acceptable, such as on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 combination filters in the present regulation.

2 The testing right now calls for loading
3 200 milligrams challenge on a non-air-powered
4 particulate filter. We have seen in our testing some
5 inconsistencies in the loading characteristics based
6 on external factors that we do not know and can't
7 identify yet. The current equipment only generates
8 about 14 to 20 milligrams, which requires a long test
9 and also is not what the equipment necessarily was
10 designed to do.

11 We feel that, again, this is a subject
12 where some round-robin testing, some input is needed
13 from the equipment manufacturer, as well as the
14 respirator manufacturers and anyone else who is
15 interested, just to establish where that load should
16 be. This is especially true if you go to powered-air
17 purifying units which will be discussed later.

18 The method of measuring particle size is
19 the differential mobility particle size counter. This
20 piece of equipment apparently is not available
21 anymore. More modern scanning mobility particle sizer
22 is available and we request NIOSH consider this in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 proposed rulemaking.

2 That is all I have on testing parameters.
3 I can entertain questions on that now or go onto
4 filter efficiency and can entertain questions at the
5 end.

6 MR. MATTHEWS: You got a preference? Do
7 you want to maybe do the other presentation and we can
8 take a break and do a few questions? How about that?
9 Why don't you do your other piece?

10 MR. NEWCOMB: Filter efficiencies, Type A
11 filter: again the proposal to use Class Three rather
12 than Type A, as was previously mentioned -- I do,
13 however, want to address one of Dr. Campbell's
14 comments. A Class A filter in Europe, Australia and
15 many parts of the world right now is an organic vapor
16 filter. Class B filter is an acid gas filter. It's
17 much better to have a 1, 2, and 3 corresponding to
18 particulate filters than have people confusing gas and
19 vapor filters with particulate filters.

20 Type B filter, we would like to see the
21 efficiency of a Type B filter brought from 99 percent
22 down to 96 percent. One of the things that has come

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to our attention is that there is very little
2 difference between a 99 percent efficient filter and
3 a 99.97 percent efficient filter.

4 In order to give the user community a
5 wider range of product to cover a lot of different
6 uses, it's felt that we could go down to 96 percent.
7 This would do a couple things. One, it would give the
8 -- it would more than suffice for the health care
9 workers' 95 percent efficient at one micron
10 requirement. It would also allow particulate
11 respirators to have a -- using this filter to have a
12 protection factor of at least 25.

13 It is not necessarily true that you can
14 equate the protection factor with the filter
15 efficiency. However, the filter efficiency that we're
16 looking at, the measurement, is a very narrowly
17 dispersed particle size, worst case particle size.
18 And it's not instantaneous, but maximum penetration.

19 Whereas the APFs for a class of
20 respirators look at what is necessary for a time-
21 weighted average over an eight hour day in a real
22 world which does not, at least not to my knowledge,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 have narrowly dispersed, worst-case particle sizes.
2 So it's very -- it's easy to have a respirator that
3 can have a 25 percent leakage through the filter and
4 still have a protection factor, assigned protection
5 factor, of 25.

6 Type C filter, again we were requesting
7 that the efficiency be lowered from 95 percent to 90
8 percent. There are a lot of cases where there are
9 relatively inert substances that could use a lower
10 class filtration and most probably a lower cost
11 respirator and still have the ability to have an
12 assigned protection factor of ten and be also usable
13 for workers in the health care setting.

14 There probably will be a little confusion
15 over that last statement because we're talking about
16 a 95 percent efficiency at one micron for health care
17 workers exposed to TB. Here we're talking a 90
18 percent efficient respirator against one-tenth micron.
19 The particle size is much smaller, the most
20 penetrating particle size.

21 There are respirators today that will
22 probably meet the 95 percent, one micron particle size

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that meet the current 30 C.F.R. 11 that will not be
2 able to meet this requirement. This is a much more
3 stringent requirement. You can't equate taking what
4 is now a dust/mist respirator which meets the
5 requirements set out for health care workers and say
6 that that respirator will meet the new requirements
7 for a Type C, either as was proposed or as ISEA is
8 suggesting. Thank you.

9 MR. MATTHEWS: Okay. Our panel has a lot
10 of questions on this, and maybe rather than start into
11 questions and comments, I think maybe it would be
12 better if we took just a quick break now and then pick
13 back up. And that way, we can sort of get through
14 this without an interruption before the half light
15 drops in.

16 I have 3:11. Let us start back promptly
17 at 3:30. Is that acceptable? Three-thirty we start
18 back with the questions on this presentation. Thank
19 you very much.

20 (Whereupon, the proceedings went off the
21 record at 3:12 p.m. and resumed at 3:31 p.m.)

22 MR. MATTHEWS: Okay, if we can -- can we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 start back then? Mr. Newcomb, we very much appreciate
2 your comments. I think we've got a good deal of
3 technical engagement we'd like to follow-up on. And
4 I clearly know when I'm over my head. I'm over my
5 head here, so Rich, if you want to take the lead on
6 this?

7 MR. METZLER: There's a great deal of
8 areas that you presented we'd like to comment on and
9 ask questions for clarification. But I think perhaps
10 two of the most important that were discussed was the
11 concern over reproducibility and differences in
12 results on cold versus hot DOP instruments.

13 Our scientists here would like to discuss
14 those issues. We have seen some of those in our own
15 laboratory and are aware of some factors that could
16 lead to variation in testing. And perhaps it would be
17 best stated by them to try and clear the issue on
18 reproducibility and differences between hot and cold
19 DOP generation mechanisms before we go into the other
20 areas.

21 DR. CAMPBELL: In terms of the
22 reproducibility, let me first mention that in our own

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 laboratories, we have three different sets of test
2 equipment, and we have four different test operators
3 who are familiar with that equipment and are trained
4 to run the tests.

5 And between the various combinations there
6 of test instruments and test operators, we have
7 developed the test system in our laboratories to the
8 point where it's reproducible between each of those
9 test operators and between each of those systems. And
10 actually, some of the test systems are in different
11 laboratories as well as having different test systems.

12 But that was not something that occurred
13 in the very beginning of our working with the test.
14 It took some time to develop the details of how to run
15 those tests and the techniques to the point where we
16 were getting reproducible results. And I'm just
17 wondering whether it's possible that the differences
18 you saw were the result of not having a lot of
19 experience with the test systems that we use?

20 MR. NEWCOMB: Certainly that plays a part
21 in it. Most of this equipment has been purchased
22 since the first of the year, and in some cases, this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 was only the second or third time that some of the
2 manufacturers might have conducted tests. And you
3 know, certainly that's a major factor. I'm positive
4 of that.

5 However, I think what we're requesting is
6 that anything that NIOSH has learned in running these
7 tests be given to the manufacturers so that they will
8 be able to duplicate what you're doing in the lab and
9 run some round-robin tests with the NIOSH machines and
10 the different manufacturers' machines to make sure
11 that we're all doing the same thing with the same type
12 of equipment.

13 DR. CAMPBELL: Yes, yes. So that's a
14 problem that I think is fairly easily addressed.

15 DR. MOYER: Okay. In follow-up to that,
16 I would like to kind of address the differences that
17 we have seen and some of the things that can
18 contribute to that, especially differences that might
19 be seen between the different generator methods, all
20 right?

21 NIOSH knows for a fact that particle size,
22 particle size distribution, has a big effect on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 penetration. If the different generation methods do
2 not give you the same particle size and the same
3 particle size distribution, you could potentially see
4 differences. We know that.

5 We also know that the flow to the filter
6 itself can be critical. If you're evaluating a
7 filter, especially in the case of a respirator-type
8 filter, and you don't have uniform flow to all the
9 parts of that filter in the fixture that you're using
10 in that test instrument, you can get significantly
11 different results. We know that for a fact and we've
12 seen that in the past.

13 We also know that temperature of the test
14 aerosol can be a factor in these types of studies. We
15 know that a very important factor in the DOP situation
16 is the chemical state of the DOP. DOP does degrade,
17 and I'm sure you're all aware of that. It turns a
18 yellowish color.

19 We've done some studies where we've done
20 penetration studies as a function of number of times
21 that DOP has been heated, and found out that the
22 actual degradation of the DOP leads to enhanced

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 penetration through the filter medium all right?

2 And as little as a few percent degradation
3 product in the DOP can, in fact, enhance the
4 penetration significantly. We also know that proper
5 maintenance of the test equipment is essential to get
6 reproducible results. And in fact, when -- and most
7 of the data that I've seen today is on DOP, but if,
8 particularly with the salt generator systems, if you
9 do not maintain those instruments properly, you will
10 get -- could, as a result of maintenance problems, not
11 get reproducible results.

12 We're aware of all that. As a matter of
13 fact, on the salt system, we tear it down and clean it
14 on a day-by-day basis, which far exceeds what -- in
15 that particular case, the manufacturer recommends.

16 We also know that for some of the filters
17 that we're looking at, filter variability is
18 significant, especially with loading. As you all have
19 found in your studies here, if you don't precondition
20 the filters exactly right, your data can be orders of
21 magnitude off, because I saw even in your one table
22 here that the data, which was pre-conditioned for a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 longer period of time, the penetrations were orders of
2 magnitude larger than any of the other four sites that
3 tested those particular filters.

4 We also know that factors like relative
5 humidity, pre-conditioning, levels and concentrations
6 of the liquid in the case of salt in the generator,
7 can have an effect on the actual penetration values
8 that you determine. Yes, we are aware of a lot of
9 factors that can lead to non-reproducibility in
10 results, and we have tried in our laboratories to rule
11 them out.

12 MR. METZLER: Bill, now that we understand
13 a lot of the key factors critical in the
14 experimentation -- Ernie mentioned a few -- I'll just
15 summarize briefly: particle distribution, flow rates,
16 the filtered area, exposure on the filter, the
17 temperature, the DOP chemical state.

18 Particularly we noticed large variations
19 in old versus new DOP between cold and hot generation
20 mechanisms and filter pre-conditioning were mentioned.
21 We would be pleased and welcome the opportunity to
22 have the manufacturing community and anyone interested

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 come in and see what we do and share this information
2 with you in a meeting forum or anywhere else.

3 MR. NEWCOMB: Thank you. That's really
4 what we're asking for is some feedback as to why we
5 might be seeing these anomalies in the results. We
6 suspect that there are a lot of factors that we don't
7 understand using this new machinery, and perhaps the
8 test protocols that we received were not sufficiently
9 explicit, or perhaps the other things such as the
10 conditioning, might need more tolerances, tighter
11 tolerances, to make a more reproducible test.

12 We still have a question as to what media,
13 what type of DOP generation should be used, because
14 it's not specified right now and it does seem to be a
15 big --

16 DR. MOYER: -- could be used if it met
17 those criteria. We didn't want to specifically
18 indicate a generator type because if you make an
19 aerosol and it has the right characteristics,
20 hopefully it will have the same penetration
21 characteristics. We know that for mechanical filters,
22 like you found out in your study, that the results are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 pretty consistent

2 For some of the newer-type electret-type
3 filters, we're not as maybe confident to say that all
4 those factors don't come into play.

5 MR. MATTHEWS: I mean, clearly it is not
6 our role here to go behind the green curtain and do
7 some magic techniques and then come out and say,
8 "We've got it back there and you guys go through trial
9 and error until you stumble around and figure out how
10 to do it". We want to engage -- it's in our interest
11 to assist the manufacturers to come up to speed as
12 quickly as possible with some procedures that give you
13 confidence that we're all playing out of the same page
14 on this.

15 And again, we're wanting to get this
16 because as some of the other commentators have said, a
17 number of worker communities need this new equipment
18 quickly. So we'll work with you.

19 MR. NEWCOMB: We appreciate that. That's
20 what we're asking for. We would like to get these
21 issues resolved though before the rule becomes final
22 because if we've only got 30 days to stop making NIOSH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 submissions, we need to have that on board.

2 MR. MATTHEWS: We agree.

3 MR. BERRY ANN: I'd just like to add a
4 little bit to that too. The way the rule was written,
5 we did not intend to require any specific generation
6 method. We wanted to leave that open so that any
7 equipment that meets the stated specifications would
8 be acceptable.

9 We felt that where we could leave it broad
10 enough to allow flexibility, we would do that to allow
11 innovation and advancements. But where there was the
12 requirements to be specific to control the outcome to
13 be reproducible, we tried to do that. As Ernie
14 stated, you know, we're learning some things that
15 maybe we have to be a little more tighter as you've
16 suggested in some of the areas, maybe state some
17 things that we haven't, like make sure the air flow is
18 uniform.

19 But I think that maybe as you look at your
20 testing and your results and the test protocols that
21 you used, maybe you can give us some insights on that
22 too. But again, you know our intent is not to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 overly restrictive, but specify exactly what we need
2 for that reproducibility without tying everybody in to
3 using the same equipment and the same model number,
4 etc.

5 MR. NEWCOMB: We appreciate that. We have
6 come out in favor of that in many instances. We don't
7 like to be specific. However, if we felt there was a
8 difference in the generation method, everything else
9 being equal, which we were told was the case, it might
10 not be the case, but with the information that we had,
11 it appeared that the generation method alone was a
12 factor in the results and therefore, if it is, then it
13 should be specified. If it is not, fine.

14 MR. BERRY ANN: There's one other thing.
15 Ernie mentioned about the temperature of the aerosol
16 being critical and that might be interpreted as hot or
17 cold generation. Actually, the temperature that's
18 specified is at the point of application at the filter
19 and the method of mounting the filter that the aerosol
20 is being put as a challenge agent against, does affect
21 the temperature of the aerosol at that point.

22 MR. NEWCOMB: I think that was understood,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 thank you.

2 MR. BERRY ANN: Okay.

3 DR. CAMPBELL: One detail. Your comments
4 about the 200 milligram challenge load, were those
5 comments directed primarily in terms of the
6 reproducibility of the test? Is that what the concern
7 was?

8 MR. NEWCOMB: Let me refer to my notes for
9 a second.

10 DR. CAMPBELL: It was the fifth slide from
11 the last one, fifth page from the last one.

12 MR. NEWCOMB: One of the things that we
13 questioned as being a possible factor in the
14 performance that we were seeing was the amount of
15 aerosol that was being generated and the amount of
16 time that that filter, as a consequence, would be
17 exposed to the aerosol.

18 If it were generating five milligrams,
19 then you've got a factor of 400 a minute, for instance
20 for five milligrams a minute. Whereas if it were
21 producing 100 milligrams a minute, it's two minutes.
22 There's a large difference in the amount of time that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that filter is exposed and that generation aerosol is
2 not specified right now. It's left open. It has a
3 maximum, I believe, of 100.

4 DR. MOYER: It has a maximum of 200. I
5 can give you at least one case where that shows that
6 that isn't the case and that's in the case of high
7 efficiency mechanical filters. If you take a high
8 efficiency mechanical filter and you run the test at
9 say 100 milligrams per meter cubed loading at the
10 specified flow rate and you want to load that filter
11 in a hurry, you can jack the flow up to double that,
12 load the filter in half the time, take a final
13 penetration value and you get the same result.

14 MR. NEWCOMB: But does that hold true for
15 all filter media?

16 DR. MOYER: I don't know. That might be
17 a point right now, but I can tell you that at least in
18 that case, it does hold. The other point we would
19 have to check on. I must admit that.

20 MR. NEWCOMB: Thank you.

21 DR. CAMPBELL: But specifying the loading
22 rate would be, I think, fairly straight forward to do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 in this.

2 MR. NEWCOMB: Well, there are some machine
3 limitations as well at the present time which get --

4 DR. MOYER: But the limitations are with
5 the sodium chloride, not with the DOP. And I don't
6 think you run into the same problems with the sodium
7 chloride that you do with the DOP when it comes to
8 loading. They are two different beasts.

9 MR. NEWCOMB: Well one of the things that
10 has been seen in trying to run specifically powered
11 air filters with a 2,000 milligram loading is that the
12 loading characteristics with that much sodium on it,
13 are extremely non-uniform and give completely, many
14 orders of magnitude different results as the sodium is
15 caking onto the filter.

16 And so I would say that perhaps for some,
17 it's not the case. For others, it might be.

18 MR. MATTHEWS: Okay. Do you want to talk
19 about filter efficiency classes or do are there other
20 questions on the --

21 DR. CAMPBELL: I just had one other
22 question about the table.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. NEWCOMB: Right.

2 DR. CAMPBELL: Did you look at the
3 variation or the standard deviation from one test
4 laboratory to another? The reason I ask that is
5 because I thought in your presentation you made some
6 inference about how that would affect the statistical
7 test.

8 MR. NEWCOMB: I haven't done it yet. We
9 just got this information rather recently. We have
10 not done it. However, if you take a look at what
11 we're talking about for standard deviations, and we'll
12 get into that later when statistics are addressed, it
13 could definitely be a factor in it.

14 DR. CAMPBELL: Okay.

15 MR. MATTHEWS: Rich?

16 MR. METZLER: Well, Gene brought up
17 perhaps the next subject to cover, which is filter
18 efficiency, and I had a couple of questions myself in
19 this area. One is, you did round-robin testing using
20 filters of Class A, B, and C. How many manufacturers
21 produced filters in these classes or have filters in
22 these classes that you use for testing?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. NEWCOMB: These were not respirator
2 filters. They were swatches of material that were
3 provided that are commercially available in large --
4 that would not be suitable to make the type of
5 respirators that we're talking about, the low-cost
6 respirators. They were not respirator filters. They
7 were swatches of material that just happened to be in
8 this filter range.

9 MR. METZLER: In the surveys that ISEA has
10 conducted with the manufacturing community, do you
11 know the number of manufacturers who can produce
12 respirators at C, B and A classes?

13 MR. NEWCOMB: I don't personally know. I
14 don't think that information has been asked.
15 Obviously, the high-efficiency filters are available
16 from everyone now. That's not really a question. The
17 lower efficiencies tend to be a little harder to come
18 by.

19 I am told that there is one filter
20 manufacturer that has a material -- it's just hearsay
21 -- that would be applicable to a Type B, I believe.
22 Other than that, I can't speak for the individual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 manufacturers.

2 MR. METZLER: In the filter efficiency
3 slides that you used, the recommendation from 99 to 96
4 and from 95 to 90, I've got two questions. One is
5 with regard to the description of harmonization, how
6 do they harmonize with regard to the CEN standard and
7 also, what applications in the workplace do you
8 foresee these respirators will be used?

9 MR. NEWCOMB: The harmonization was meant
10 more for the type and class designation than the
11 filtration characteristics. It's kind of difficult to
12 compare the filtration because they're using a much
13 larger particle size, sodium chloride, to characterize
14 the filter penetration efficiencies. So they're not
15 comparable one to the other.

16 To say that the filters that meet the
17 European requirement for a Class P1 filter or P2
18 filter would necessarily meet the proposed
19 requirements here, I would dare say that there is
20 very, very little chance of that because of the
21 extreme difference in particle size of the challenge
22 concentration, the challenge aerosol rather.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. METZLER: And as the second part of
2 that question was in the suggestion of 96 percent
3 efficient filter class and a 90 percent, has ISEA
4 given consideration to the applications where those
5 respirators would be used?

6 MR. NEWCOMB: Not specific applications
7 that I know of. What we're talking about is a
8 relatively inert material such as maybe cement dust
9 and gypsum and so forth for a Class C, the 90 percent
10 efficiency and perhaps some fumes or paint spray,
11 pesticide or something along that line at the Class B.

12 However, that's my own thoughts versus the
13 ISEA's thoughts because that really has not been
14 discussed.

15 MR. METZLER: Has there been any estimates
16 of the distribution across the market as to the
17 percentage of air purifying filters that will fall in
18 the different classes that you're proposing?

19 MR. NEWCOMB: Not that I know of.

20 MR. MATTHEWS: Can I just -- for
21 clarification, again this is probably beyond my realm
22 of competence, but you talk about Type C going to a 90

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 percent filter. How can you get -- and that would
2 have a protection factor of ten. If you got a 90
3 percent filtration or a ten percent filter leakage,
4 and then you've got a face seal leakage as well -- and
5 the current estimates, I suppose, is ten percent face
6 seal leakage, a ten percent filter leakage. Why do
7 you not end up as a protection factor of about five?

8 MR. NEWCOMB: Well first of all, the APF
9 is totalled in with leakage and it considers the
10 filter leakage as well as the face seal leakage. If
11 you take the ten percent penetration of the filter at
12 one-tenth micron, in the workplace where you don't
13 have the narrowly dispersed one-tenth micron particle,
14 you're going to have less than that.

15 So you're never going to get up to a ten
16 percent leakage in the workplace --

17 MR. MATTHEWS: Through the filter?

18 MR. NEWCOMB: -- through the filter. So
19 combining the two, and they're combined not
20 necessarily arithmetically, it is felt that you could
21 have a protection factor of ten, having it totalled in
22 with leakage of ten percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. MATTHEWS: At what particle size?

2 MR. NEWCOMB: At a particle size, it would
3 be normally distributed probably in the one to ten
4 micron particle size.

5 MR. MATTHEWS: Well not again to drag us
6 off into TB land, but we have had presentations
7 already today of a couple of points. One is the
8 reference to the 95 percent, one micron. So what
9 you're saying here is that you would have a 90 percent
10 APF of a one micron to ten micron particle size.

11 The other piece of it, the testimony from
12 the Infectious Disease Society, is that the route of
13 transmission of tuberculosis is not -- I mean, it's
14 not well known. So when CDC talks about a draft
15 recommendation of 95 percent at one micron, there is
16 still valid comment that can be made to CDC that why
17 aren't you using a more rigorous protective standard?
18 Why 95 percent of one micron?

19 One of the arguments that we have is that
20 the Class C, as articulated in the NPRM of 95 percent
21 at a three-tenths micron particle size, is providing
22 additional protection in a TB environment of one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 micron that goes farther beyond -- it's your same
2 argument of from 95 percent on up to maybe 99.

3 But when we go to your 90 percent filter,
4 we begin to lose the "safety factor" in what is
5 admitted to be an area of infectious disease science
6 where we don't have all the answers.

7 MR. NEWCOMB: One of the things that has
8 not been brought out, and I guess is a factor, is even
9 if you have a filter that has 95 percent efficiency
10 against one-tenth micron, if it's a half-mask face
11 piece, it's still only got a protection factor of ten.

12 So you're not getting the efficiency of
13 the respirator that you had of the filter because
14 you're always going to have some facial leakage in a
15 negative pressure air-purifying respirator.

16 MR. MATTHEWS: But you understand the
17 other argument that the Agency is faced with about
18 providing some additional safety margin for health
19 care workers in a TB environment?

20 MR. NEWCOMB: Yes. And if there were
21 still a concern, it could be recommended a Type B at
22 96 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. MATTHEWS: Right, but then other
2 people have other --

3 MR. NEWCOMB: Yes.

4 MR. MATTHEWS: -- responses to that too.
5 Okay. Any other comments on that? Okay. Okay, I
6 guess next on your list is PAPRs, right?

7 MR. NEWCOMB: Yes, thank you.

8 MR. MATTHEWS: Okay, thank you.

9 MR. BENNETT: Try and work from this side.

10 MR. MATTHEWS: You have to turn -- there's
11 a switch on this side of it.

12 MR. MAHAN: Try and work from this side.
13 Okay.

14 MR. MATTHEWS: Just please identify
15 yourself for the transcript.

16 MR. BENNETT: Mike Bennett, Racial Health
17 and Safety, speaking on behalf of ISEA on how their
18 respirators -- and certainly on statistics of test
19 data. I'll start off with PAPRs.

20 MR. MATTHEWS: You need to somehow get
21 next to the microphone.

22 MR. BENNETT: I'll try this side.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. MATTHEWS: Okay.

2 MR. BENNETT: Mike Bennett, Racial Health
3 and Safety. PAPRs, why we want to raise this subject
4 in this context. We're talking about filter
5 penetration principally. Well, the subject arises
6 naturally under the proposed regulations because the
7 regulations encompass three major classes of
8 protective systems: the negative full-face mask with
9 canisters and filters, the disposable mask as a
10 separate family and finally powered-air respirators,
11 PAPRs. They inevitably come under the orbit of these
12 modifications.

13 Why are they important as a type of
14 respirator? Well at one level, they're just another
15 respirator, another product that people can buy for
16 respiratory protection. But they have special
17 benefits and features for the user that very often
18 make them the respirator of choice. They overcome the
19 burden of breathing through the filter and they give
20 the wearer and the use a great deal of possibility and
21 access walking around with the comfort of fresh air
22 often being blown over their face.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 They're often the respirator of choice,
2 and this is one of the important issues when we're
3 talking about respirators. It's the respirators that
4 get worn that give protection, not those that are
5 described in the standards and give very good test
6 results in the laboratory.

7 PAPRs, we believe, are going to play an
8 increasing role in the protection of workers in the
9 States. At the moment though, if you go through the
10 regulations, and as a manufacturer and you try to put
11 forward a PAPER for certification, you have to look
12 hard for the relevant sections. They are included at
13 the end, often it seems as somewhat as an
14 afterthought, perhaps as a modification to the test
15 that's been set up primarily for the other types of
16 systems and filters.

17 And that's natural because the vast
18 majority of workers and respirators use straight
19 forward negative half masks and filters. However, we
20 estimate that already in the marketplace in the United
21 States, there are of the order of a third of a million
22 PAPRs in use today, and that of course means

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 continuous reuse with the filters, which are replaced
2 on a regular basis.

3 So although perhaps not as important as
4 the general respirator classes in use, they are a
5 growing and important class, and we believe they
6 demand further attention in terms of the regulations
7 which are being proposed so that they can be
8 classified more adequately in the future.

9 We maintain that the proposed regulations
10 don't reflect workers' needs in terms of the sort of
11 equipment they want to wear or the modern technology
12 that is already available in designing and offering to
13 the marketplace PAPRs. The regulations very much
14 reflect perhaps the technology of the last few years.
15 They don't reflect today's technology or tomorrow's.
16 I'll be giving some examples later where PAPRs could
17 be improved.

18 The proposed tests that we read in 42
19 C.F.R. 84 are not consistent with the module one
20 objectives, which naturally focus on filter
21 penetration. If you're going to measure filter
22 penetration, you have to know the air flow. If you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 consider PAPRs, the measurement of air flow is not a
2 trivial matter.

3 It is evident that NIOSH has tried very
4 hard to address that issue, but the further you get
5 into it, the more tests you have to specify, the more
6 equipment you have to specify, the more complicated
7 the whole process becomes, and it's not long before
8 you've lost track of where you were going. How can we
9 classify the filters?

10 What we're saying is we fully support the
11 objective of module one, the reclassification of the
12 filters, for all the reasons that have been explained
13 earlier. There's a great danger. That's why bringing
14 PAPRs into the equation up front -- begin to lose
15 sight of the objective and perhaps fail in its overall
16 purpose.

17 When we look at the tests, we find that
18 the tests are unclear in their intent to some extent
19 and certainly in their execution. As manufacturers,
20 we need a great deal more information on how to test
21 the equipment in all its variety of forms that it
22 exists today, as well as the systems we'd like to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 bring to the market tomorrow.

2 The consequence of all of that is that the
3 proposed tests in the existing draft regulation are
4 inadequate and we consider design-restrictive in terms
5 of offering to the marketplace systems which will give
6 users genuine protection.

7 Why are PAPRs different? I've spoken of
8 some of the user side. From a technical side and a
9 design side, which affects manufacturers and
10 certification bodies, there's a unique interaction in
11 PAPRs between filter penetration, air flow and face
12 fit, if I can use the term "fit" in this context of
13 this product line, which is unique to the products.

14 All three interact strongly. If you vary
15 one, you affect the others and you can't measure one
16 without full knowledge of the others and the
17 interaction of the effects of the change of, for
18 example air flow as a filter load, on the fit.
19 Everything interacts strongly and you have to address
20 the PAPR as a complete system, both obviously in the
21 design stage, but also in the test and certification
22 phase.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 This is a major point and it underpins
2 everything that we're leading to in our proposal. I
3 could give a lot of detailed examples, but I think
4 it's fairly evident as soon as you start to look at
5 the subject of certification testing, that the
6 interaction is unique. With negative systems, the
7 proposal that you can separate air flow from
8 penetration is realistic, one can set an agreed level
9 of 85 liters a minute, which is realistic, represents
10 mean breathing rates and peak breathing rates. And
11 you can come to an agreed level of test penetration
12 that has some agreement and meaning.

13 With PAPRs, it is much more problematic to
14 agree even what a starting point for the air flow
15 measurements should be. Should they be instrument-
16 specific? Should they be class specific? We believe
17 these subjects haven't been properly debated yet and
18 to come up with a particular value, as framed at the
19 moment, would do a disservice to the industry.

20 We have no intention of going into the
21 details of all the problems that arise from the draft
22 regulations at the moment. I just basically list the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 categories of where we see problems of interpretation
2 and understanding, as well as more fundamental
3 questions of design. The filter efficiency levels,
4 that's a question that needs discussing separately in
5 the context of PAPRs from negative systems.

6 It's not necessary, of course, that you
7 have the same levels. In the proposed regulations,
8 NIOSH has proposed two, consistent with what they
9 ought to be aiming at the health care market, but I
10 think the subject needs more debate. Whether one gets
11 into filter or system testing in module one is an area
12 that is unresolved. As I said in the introduction,
13 the intention of module one is filter testing. But in
14 PAPRs, you end up doing system testing, and there's
15 nowhere near enough thought or discussion gone into
16 the question of PAPR system testing.

17 Do you measure peak or continuous flow?
18 There are strong arguments for and against. A
19 majority of manufacturers, I think, could come up with
20 a very constructive answer on that, but we feel
21 there's too much detail and this is not the forum to
22 discuss those sorts of issues, but it's an unresolved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 issues.

2 Multiple filter testing, many PAPRs use
3 filters in groups of two or three in parallel. Some
4 systems, in fact, use a pre-filter and the filter in
5 series. The interaction between the two is critical
6 in PAPRs. The testing of multiple filter units needs
7 to be taken into account in a way that perhaps it
8 doesn't on negative systems.

9 Test equipment, a very big subject. You
10 need very specialized test equipment for PAPRs. It's
11 difficult to specify. It's specified in terms of the
12 tests you're trying to carry out. It's difficult to
13 design. It can be designed by third parties, by test
14 equipment manufacturers. It can be designed by test
15 houses. There are pros and cons.

16 How do you use the equipment when you've
17 got it? You need agreed protocols. Who can supply
18 the equipment? How many manufacturers are able to
19 supply commercially available equipment? These are
20 all questions which are completely unanswered at the
21 moment and lead us to say that we cannot go forward
22 with PAPRs being in module one with questions like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D. C. 20005

1 this completely unanswered.

2 Air flow measurement is a very difficult
3 area. Some suggestions have been made in the
4 proposal, but even reading what has been written,
5 there are ambiguities of when are the air flow
6 measurements going to be done and how are they going
7 to be done.

8 It's implied in the regulations in draft
9 form that the air flow will be measured after the
10 filter penetration tests have been completed. But it
11 says in another part of the regulation, of course, the
12 penetration test can be terminated at any stage. All
13 this sort of internal conflict because simply we
14 understand, we believe the work hasn't gone into
15 specifying these tests adequately.

16 Fit testing, always a contentious subject,
17 but when the banana oil fit test comes on the stage,
18 we consider it a totally inadequate test, and
19 particularly with PAPRs, the relevance has to be
20 questioned very greatly. It would be polite to say
21 that it perhaps relates to one category of product,
22 but it's inconsistent with the range of products which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 we believe PAPRs can offer to the marketplace.

2 My last point is that all the points made
3 so far relate primarily to filter testing. PAPRs, of
4 course, are closely involved with the upcoming module
5 on APFs and we believe that that module in itself will
6 also have implications on PAPR design which will knock
7 right back to filter testing and filter penetration
8 levels. This subject interacts more than perhaps any
9 other.

10 What I'm listing there are the
11 difficulties that we foresee arising from the draft
12 regulations at the moment. I would like to go into
13 detail on just two issues because we believe that they
14 are particularly important. I'll try and take them
15 one at a time.

16 Let's talk about today's tests, today's
17 technology, today's test equipment. Most
18 manufacturers are gearing themselves up now to address
19 the filter penetration tests that are in the draft
20 regulations. Machines such as the TSI 8130, which are
21 the typical sort of equipment that's suitable for
22 carrying out these tests are being ordered and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 commissioned and, as we heard earlier, some test data
2 is becoming available.

3 However when you get to PAPRs, we're
4 talking about much higher air flows, typically 160,
5 170 liters a minute for the open systems. These often
6 run at 200 liters a minute and some tests are often
7 done at those levels. How are we going to generate
8 200 liters a minute and how are we going to generate
9 a loading at 2,000 milligrams of salt?

10 We understand it's NIOSH's intention to
11 load 2,000 milligrams of salt onto PAPRs and see how
12 they perform, maybe to look at air flow into that
13 process, maybe to simulate the loading test which we
14 would regret because we seem to have gotten rid of
15 loading tests on APRs. Why don't we get rid of them
16 on PAPRs?

17 When we take a system like the 8130, we
18 find two problems. It doesn't have the air flow
19 capability and it certainly doesn't have the salt
20 density to enable the test to be carried out in a
21 suitable time. You have to modify the test rate to
22 make 200 liters a minute, or 180 at least anyway, flow

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 through it and you have to conduct the test for about
2 20 hours. You get some very strange results when you
3 do that.

4 So the first point is that the equipment
5 to do the tests which are being suggested in module
6 one is not generally available. I know at least one
7 manufacturer is developing equipment which may be
8 available later this year. That's hardly the basis to
9 go forward on a regulation.

10 Secondly, some up to date on the effects
11 of one of these tests we believe is the intention of
12 NIOSH to run. We have looked at the effect of loading
13 2,000 milligrams of penetrating-type salt on four
14 various types of filter media, all in use in PAPRs,
15 although admittedly not all in the United States.
16 Only two of them are at present.

17 But we're trying to look to the future
18 here. We have found an extraordinary range of
19 behaviors as you load this amount of salt onto the
20 filter media, and I give four examples: one, which is
21 just known as a mechanical filter, basically
22 conventional glass fiber where typically the pressure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 drop doubles in the test.

2 We found another commonly used medium, the
3 pressure drop increased by a factor of seven to one
4 with a roughly 90 percent electrostatic filtering
5 element in it. Another electrostatic material widely
6 used with 30 percent, roughly, electrostatic component
7 in it blocked to a factor of ten to one, and yet
8 another electrostatic medium blocked with a ratio of
9 30 to one.

10 The point I'm making on that is there is
11 a wide disparity of behaviors on filtration media
12 being used today which will give enormous results if
13 we just go forward with this one test, which I believe
14 is being proposed in a loading context, and air flow
15 context.

16 A second and more worrying trend is
17 because we are being required to use equipment that
18 isn't suitable for purpose, we're ending up with 20-
19 hour tests and we're seeing very strange caking
20 effects as this penetrating salt, very, very finely
21 dispersed -- in the case of this test, it wasn't
22 purely mono-dispersed. It wasn't done on the TSI reg.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1
2 But there was clear evidence of this
3 particular salt, which is fine for penetration tests,
4 caking and leading to a skin in the filters because of
5 the long life test. When you handle the filter, for
6 example by weighing it, to establish you've got two
7 grams, you find that the cake disappears and the
8 pressure reduces to normal levels.

9 So introducing spurious results into the
10 test method which has got nothing to do with the
11 product or its use in the field. And we would simply
12 campaign that more efforts and thought and basic tests
13 go into the testing of PAPRs, two specific examples of
14 problem areas.

15 Having listed the problems, the
16 manufacturers feel all these technical issues can be
17 resolved by discussion and interaction, firstly with
18 ourselves, because a number of manufacturers have a
19 number of years of experience of designing and testing
20 PAPRs. The answers to some of these issues are known
21 and available. And secondly, a number of tests and
22 certification bodies in other countries have wrestled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 with these problems and have come up with answers that
2 have satisfied their governments.

3 We would ask that NIOSH take these things
4 into account. The answers are not too difficult to
5 find. We're not saying you have to take everyone
6 else's answers, but we are asking that you take on
7 board the technology and the test regimes that have
8 already been developed in other countries for the
9 benefit of American systems and workers.

10 Secondly, we would say that the formal
11 process that we're going through must be supplemented
12 by an informal interaction, particularly between the
13 manufacturers and NIOSH. If the manufacturers can be
14 involved at an early stage, we can pass across the
15 knowledge and experience that we have in resolving
16 these issues, and we very much look forward to a
17 greater informal interaction as well, of course, as
18 the formal process that we take part in to help
19 resolve these issues earlier.

20 Manufacturers naturally require
21 reproducible and relevant tests to ensure proper use
22 of the resources of the certification body. There's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 nothing more frustrating than carrying out tests that
2 give you a different result every day, carrying out
3 tests that give you a pass in the company and then a
4 failure at NIOSH. That frustrates everyone.

5 It's equally frustrating where tests are
6 carried out, which are not well described and not in
7 the public domain. We ask that we work towards
8 commonly agreed tests which we all understand and we
9 can all work towards getting the same results prior to
10 putting products into the certification testing
11 program.

12 What that adds up to is our proposal that
13 we put in a new module. We strengthen the proposal we
14 had earlier that there's a module in which PAPRs
15 feature. We are now proposing a specific module to
16 address the requirements of PAPRs leading to a
17 coherent set of tests and certification requirements
18 reflecting modern PAPER design practice and
19 certification practice.

20 ISEA recommends strongly the urgent
21 addition of a separate PAPER module. And by "urgent",
22 we mean that we believe it should be raised up the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 agenda and frankly, initiated as soon as possible so
2 we can get the dialogue going. The other part of the
3 recommendation is that no new tests or test criteria
4 are included in module one 42 C.F.R. 84. The existing
5 30 C.F.R. 11 tests remain as an interim.

6 The basic philosophy is that we would
7 rather stay with a test we know and hate rather than
8 put in place tests which we are very uncertain about
9 and we don't understand until we have had time to work
10 through, with NIOSH, a set of tests that are relevant
11 to PAPRs.

12 We would go as far as to say we would
13 request that the proposed tests outlined in the draft
14 42 C.F.R. 84 are withdrawn because we believe it will
15 add to the confusion in the interim. And the worst
16 scene of all is that we have three regimes of testing:
17 the old, an interim and a new one.

18 We would rather stay with the old tests
19 and urgently work with NIOSH to generate a new module
20 leading to a very rapid module for 42 C.F.R. 84.

21 This does, to some extent, contradict our
22 global statement made earlier that we would be happy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to agree that no further submissions would be made
2 under 30 C.F.R. that are not 30 days. I think for the
3 class of product PAPRs, we would ask that new PAPER
4 designs be certified under 30 C.F.R. 11 during this
5 period before we can get the new module agreed.
6 That's the one class perhaps that we would say is an
7 exception to the general principle.

8 Finally on this section, I would like to
9 reaffirm that we do consider this as a very positive
10 move. We are not saying we are against what's going
11 on in module one. We totally support what's going on
12 in module one. We want to constructively move forward
13 to a new module and address a lot of elements in it as
14 rapidly as possible.

15 These are the categories we would suggest
16 can be covered in module two and we would look forward
17 to playing our part in getting them put down on paper
18 and to proper tests and procedures. The module could
19 cover, in the context of PAPRs, a higher protection
20 category. One of the real problems in the existing
21 categorization of PAPRs is they're all given the APF
22 of 25.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Manufacturers are adamant that we can
2 design high protection factor systems. There's a
3 great body of knowledge and experience that shows if
4 you blow more air into the face piece -- sorry if I
5 use European expressions. You know what I mean. If
6 you blow more air into the face piece, you can get
7 continuous positive pressure and higher protection
8 factors than 25.

9 There's definitely a body of opinion that
10 would like to have a higher protection factor category
11 and the manufacturers would certainly claim they know
12 how to do it. Equally there's another body of opinion
13 that says PAPRs can be a very effective lower
14 protection system. They don't necessarily have to be
15 25 for everything. We believe there could be a factor
16 lower than 25 without having to specify it. We'll
17 talk about that when we get to the APF module.

18 This would involve true, loose-fitting
19 systems. The current NIOSH designations don't even
20 distinguish between loose fitting helmets and hoods.
21 They're not the same. You can have truly loose
22 fitting systems that are comfortable to wear,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 effective in use, give a lower protection factor, give
2 genuine protection.

3 The manufacturers would love an
4 opportunity to develop and put on the market such a
5 system.

6 The third point, reviewing head piece
7 categories, reinforces the two points I made there.
8 The head piece categories do not reflect modern
9 practice in design or use. We must move towards re-
10 specifying these as soon as possible.

11 The manufacturers can offer breath
12 responsive technology, whereby the canisters last much
13 longer. However, there is no mechanism in the
14 existing standards and tests to meaningfully test such
15 a system. We would like to work with NIOSH to
16 generate such tests.

17 Appropriate fit tests for the various
18 types of systems -- the test we have at the moment is
19 inappropriate, unreproducible, and leads to a single
20 artificial result, we believe, in many cases, as it
21 does with some of the APRs as well. We would like to
22 develop appropriate fit tests.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 New APFs reflecting the design classes
2 I've spoken of, they've come up in the APF module, but
3 perhaps we could discuss it in the module for PAPRs so
4 that we come out with an integrated set of tests at
5 the same time.

6 Test equipment: can we work with NIOSH to
7 specify the test equipment? Can we get the design
8 specification agreed? Can we give the suppliers a
9 chance to manufacture equipment so that we have a
10 choice of suppliers?

11 Test protocols: how are we going to use
12 the equipment? These answers can be found quite
13 readily, as I've said before. Filter compatibility
14 with APRs, when we come out with a new range of filter
15 penetration levels for PAPRs, we have to take into
16 account the levels that have been assigned for APRs.
17 In one sense, we have to watch out for the products
18 where you can screw canisters in, which can also be
19 used on negative systems.

20 We have to take into account both the
21 testing and the workplace use aspects of canisters and
22 cartridges which can be used for both systems. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 have to ensure there can be no accidents, that people
2 use the appropriate canister on the appropriate
3 product. This can be handled by mechanical changes or
4 by labelling and the rest of it.

5 Air flow indicators: technology is
6 certainly available, but again it comes in a range of
7 sophistications. One goes from the simple quick-look
8 indicator or from mechanical to modern, sophisticated
9 electronic systems which can be built into PAPRs, give
10 a lot of information to the wearer to enhance his
11 protection, but will lead to considerable cost
12 implications in the equipment. We'd like to be
13 involved in the discussion of trade-off of those two
14 issues. Should they be mandatory? Should they be
15 regulated or should they be voluntary? Should the
16 marketplace decide?

17 And finally, when we're doing PAPRs, let's
18 take into account the chemical cartridge compatibility
19 because they're just as much a feature of the
20 workplace as the particulate filters. So this should
21 very much feature in the module.

22 In summary then, we would like to move

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 constructively forward in that general direction.
2 That's the first presentation.

3 MR. MATTHEWS: Okay, thank you very much.
4 Maybe let's pause here, very thoughtful presentation.
5 Do we have any -- Rich, do you have any comments or
6 questions?

7 MR. METZLER: Your presentation was very
8 compelling. I recognize concern over the lack of
9 system requirements in the first module, and I believe
10 that you recognize that the changes in the first
11 module were primarily intended for filter efficiency,
12 which would explain the lack of any system
13 requirements for PAPRs.

14 I also recognize the concern over the
15 length of the test. The test periods are, in fact,
16 lengthy and perhaps Ernie Moyer can comment on that in
17 a few minutes.

18 With regard to the need for
19 communications, it's been said many times today that
20 we are receptive to and welcome detailed discussions
21 on standards and alternate suggestions, including the
22 suggestions for additional modules and priorities that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 ISEA is recommending. I didn't have any specific
2 technical questions myself. Perhaps others might want
3 to comment or ask questions.

4 DR. CAMPBELL: Would you comment on what
5 you see as the public health implication of continuing
6 to use PAPRs with currently-certified dust respirators
7 or fume respirators?

8 MR. BENNETT: We believe that the HEPA
9 levels are by and large going to be unaffected because
10 the tests are very similar at the moment and the
11 number of systems are available with HEPA filters.
12 When it comes to the lower levels, it's hard to make
13 a general statement. I think a number of filters
14 which are available today probably wouldn't meet the
15 B or C categories. It's hard to make a general
16 statement, and maybe some of them wouldn't.

17 I think as a general statement, that maybe
18 PAPRs, by and large, are the more modern of the
19 products. You know, they haven't been on the market
20 30 years and maybe some of the filters tend to be
21 higher in performance because they've been designed
22 recently using some of the more recently available

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 media. So they would tend to be, I'd say, consistent.

2 If you take the dust, dust/mist media, I'd
3 say they probably are closer to the requirements of B
4 and C at the moment. But I can't be definite on that.
5 It's just a feeling, if you like. This interim
6 situation that we're putting forward is very much a
7 short-term interim. We want to see PAPRs in the
8 health care sector, just as rapidly as the APRs.

9 We're not trying to put a two-year block
10 into it. We were hoping this could be months rather
11 than anything else, and we get in line pretty quickly.
12 We're just a little bit worried about putting some
13 interim tests in place which may have some rather
14 serious side effects.

15 MR. MATTHEWS: I understand.

16 DR. CAMPBELL: One of the reasons I was
17 concerned about the other health implications was that
18 generally the significance of any filter leakage is
19 more important on respirators that the higher APF
20 values, such as a PAPR.

21 MR. BENNETT: Twenty-five being high, yes.

22 DR. CAMPBELL: Higher than ten.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

1 MR. BENNETT: Indeed. Well, this is a
2 combination of the filter performance and the general
3 fit and leakage characteristics by and large because
4 of the way they work. The leakage in the fit side is
5 better addressed in PAPRs because you're blowing air
6 into the head area. So it does focus more on the
7 filters and the filters, by and large, are pretty good
8 I would say.

9 DR. CAMPBELL: Could you give us an idea
10 of what percentage of the market for PAPRs is
11 associated with high-efficiency filters versus the
12 other types? Are they predominantly high efficiency
13 filters in use?

14 MR. BENNETT: I would say yes, the
15 majority. I'm guessing there. I would believe the
16 majority are and because often the customer would
17 choose the highest level just to be safe, as we have
18 said earlier. And that's equally as true in PAPRs.

19 Particularly in fact it's often quite an
20 expensive purchase. So if you like, the relative cost
21 of the filters is not such a big factor in the PAPER
22 context.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. MATTHEWS: Okay. Do you have another
2 subject you want to cover?

3 MR. BENNETT: Yes.

4 MR. MATTHEWS: Go ahead. Please proceed.

5 MR. BENNETT: Potentially the most
6 exciting subject of the day is test statistics.

7 (Laughter)

8 MR. BENNETT: I will try and be brief.
9 I'm not an expert, so you can sit back. There's a
10 very simple and innocuous looking formula in the new
11 regulations. I quote it on the screen there. NIOSH
12 has proposed the test statistic, U , which is the sum
13 of the mean of the readings you get by measuring the
14 filter penetrations and you add to it 2.22 times the
15 standard deviation of the measurements you get. Fine.

16
17 That number then has to be less than the
18 given level, depending on the type of filter, A, B, or
19 C. Although it's a very simple formula, it has a very
20 powerful concept behind it. NIOSH was helpful enough
21 to explain very lucidly in the 1987 draft the
22 rationale behind the use of this statistical approach

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to testing.

2 Unfortunately it hasn't been put forward
3 in the current proposal. That presents it with the
4 formula and I would like this opportunity of asking
5 NIOSH perhaps to explain what the rationale behind the
6 appearance of the formula is, what the principles are
7 that they are trying to incorporate by adopting this
8 approach to the selection of test data.

9 In addition, we would like to highlight
10 two areas of concern we have resulting from the
11 introduction of this type of analysis of test data.
12 Firstly, we have concerns on the validity of
13 parametric testing. Now I'm not an expert in this
14 subject, but what that means is if you get a user
15 formula like this, you've got to be sure that the sort
16 of things your testing fall into the class or
17 distribution that you're applying the formula too.
18 We're not convinced that's the case.

19 Secondly, implied in this test statistic
20 is a dramatic increase in the acceptable quality
21 level. To use one of the techniques used by
22 manufacturers in assessing the products, they are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 letting them go out through the door. This matters.
2 This may come across -- I'm afraid we may inevitably
3 get into a discussion of numbers, but it matters.

4 What we're talking about is the way
5 manufacturers are being asked to manufacture a filter,
6 test it, and if necessary reject it before it goes out
7 the door. Manufacturers don't like doing that. It
8 costs. It takes time and effort and it means you've
9 got a product you've built which you can't then sell.
10 It puts the cost up dramatically.

11 Equally, it can mean you have to design
12 the filter to be on the safe side. It leads towards
13 over-design and over-specification of the filter
14 compared with the objective.

15 The pressure is on the manufacturer, of
16 course, to adjust his processes and his control and
17 his statistics to get close to the boundary.
18 Inevitably, you have to have a certain amount of
19 leeway there to make sure you're on the safe side.

20 And what this means is that filters get
21 over-specified. They get bigger and they get costlier
22 than perhaps they need be. I wish to just go into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that in a little more depth. But that's what we're
2 talking about. We're not talking about an abstract
3 branch of mathematics here.

4 The first issue then, the validity of
5 parametric testing, most mechanical filters are
6 extremely well-behaved. They're extremely easy to
7 design in terms of reproducibility. When you measure
8 them with salt or DOP, you get a very nice tight
9 distribution of results. And yes, distributions are
10 normal and everything that NIOSH is intending applies
11 beautifully to mechanical filters which, in fact,
12 dominate the market in terms of numbers.

13 However, new types of media are coming
14 onto the market. One of the things that's going to
15 happen over the next few years is that media
16 manufacturers are going to offer new media, partly
17 because it offers advantages to the respiratory
18 manufacturers; partly to meet the specifics of the
19 test protocols by the approval authorities.

20 The improvements are going to come in non-
21 mechanical filters, in the general family of
22 electrostatic and semi-electrostatic materials. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 know -- a number of manufacturers know from years of
2 experience in a different context that these don't
3 necessarily follow normal distributions.

4 Distributions are skewed. It's not the
5 end of the world, but it's a step away from the
6 assumption that's being made in this statistic.
7 That's in terms of the complete distribution.

8 Then other things can happen in terms of
9 the sample. You can distort the sample by the way you
10 go about your testing selection process. First of
11 all, not all instruments have sufficient resolving
12 capability to get you the extra decimal place that you
13 need when you're looking at the HEPA filters.

14 Certainly the modern instruments are
15 capable of giving you that necessary resolution, but
16 a number of manufacturers may have systems that are
17 quite adequate for giving you pass/fail criteria most
18 of the time on a HEPA filter with complete certainty,
19 but when you want the complete distribution, you need
20 the data through the high performance end, and some
21 machines may not give the full distribution.

22 So it's possible that the instrument

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 itself doing the measuring that's in the
2 manufacturer's plant are not so concerned here about
3 the tests for certification or the field tests, but
4 the manufacturer's everyday test can distort
5 distribution.

6 Thirdly, we've got this rather interesting
7 situation that, in principle, the manufacturer is
8 having trouble with his process control and he's
9 finding that some of his filters are going beyond the
10 limit, he may result to 100 percent testing. He would
11 then put the filters below 99.97, he'd put those in
12 the bin.

13 And there's no way that a product which is
14 not a HEPA filter can go out to the marketplace. On
15 the other hand, when we apply the statistical formula,
16 it is possible -- it's not likely. I'm not going to
17 give you the percentages. But it is possible that you
18 can have a distribution where the mean is correct.
19 The standard deviation is such that when you multiple
20 it by 2.22, you go to the wrong side of the statistic
21 U.

22 And we are worried about that as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 principle. Put all those features together, what
2 we're saying is in addition to leaving the statistical
3 interpretation of data, can NIOSH add to the pass/fail
4 logic, the decision rule logic, a non-statistical
5 criterion for acceptance of a manufacturer's filters.

6 We accept that the manufacturer has to
7 make the case. But if we get to the situation where
8 his statistics fail, that the manufacturer be allowed
9 to make the case that for example, he's doing 100
10 percent testing and that all of the filters are okay.

11 And we're asking therefore for the
12 possibility of other routes to passing the test
13 statistic.

14 It's important not just for the module one
15 and filter penetration. We understand, in fact we
16 support in general terms, the move towards statistical
17 testing and analysis of data. We understand that this
18 is going to be applied in other areas, gas-life,
19 cylinder life and SCBA, all the whole gamut of testing
20 and specified product from the manufacturer.

21 We believe that it's an appropriate
22 technique where the statistics are appropriate. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 in many manufacturing techniques and quality programs,
2 it is not an appropriate selection guide.

3 The second point is to do with the raising
4 of the quality level, the AQL. We're going to talk
5 about the K factor. That's the number you multiply
6 the standard deviations by when you're trying to get
7 your filters to pass. The bigger K is, the more you
8 have to shift your filter performance down to get past
9 the level.

10 We want to make a general statement that
11 the new penetration tests, as proposed, are already
12 significantly increasing the performance of filters
13 beyond what's on the market already. There are three
14 dramatic improvements to filter efficiency being
15 called up in this module.

16 Firstly, the test aerosol itself is highly
17 penetrating by design. It's been reinforced today.
18 This is considered to be one of the most penetrating
19 aerosols that can reproducibly used.

20 That in itself is pushing the performance
21 levels of filters up. Now we did hear one speaker
22 this morning who said that he is looking for 95

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 percent of one micron particles. NIOSH is asking for
2 and the manufacturers, at a price, are prepared to
3 respond to the various levels, A, B, and C, of .1
4 micron penetration particles.

5 So we already, on the first item, are
6 pushing the performance of filters considerably higher
7 than they have been before. The efficiency levels
8 being called out themselves are high. Pepper, 99 and
9 95. They are higher, particularly the lower levels
10 which are the ones with greatest interest I know to
11 the health care community, are being raised
12 dramatically from where they were before.

13 Thirdly, when we introduce the statistical
14 method of sampling, it's not that the filter has to
15 pass 99.97. It has to be some way beyond that. It
16 has to be a multiple of the standard deviation away.
17 So, in fact, we're pushing the level of the filter
18 even higher.

19 Given that we are now looking at samples
20 of 30, we are increasing the confidence of the data we
21 are using by taking a bigger sample. We consider that
22 K, in the context of everything we're saying, is too

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 high. We've gone backwards. We don't understand
2 exactly what NIOSH's objective is here. We would ask
3 NIOSH please to give us comments on what it is they're
4 trying to achieve statistically in confidence levels.

5 Working backwards, we understand that the
6 factor 2.22 can relate to a statement, that 95 percent
7 confidence can be gained, that 95 percent of the
8 filters will meet the test criterion. Now that is one
9 way of explaining where the 2.22 comes from. It comes
10 from statistical tables that lead you to that
11 statement in terms of, "is this filter fit for use."

12 We believe that's an extraordinarily high
13 level. It's way beyond anything that the industry's
14 been asked for before, and it's even beyond the levels
15 that were argued for in 1987. In 1987, we understood
16 that the request was for 95 percent confidence, that
17 90 percent of the products would pass the filter
18 level.

19 Manufacturers are saying, "Okay, we will
20 go that far. We consider that an extremely high
21 level, but we will respond to that, that we will
22 accept that level and we would interpret that as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 reducing the K value in statistical terms to a number
2 1.778."

3 Now it may seem a small change in number.
4 It may not seem a big deal, but it's one of those
5 things where we're pushing the boundaries further,
6 further and further. We're not quite sure what the
7 objective is. As I've said, the filter penetration
8 levels are being raised high.

9 If we're talking about manufacturers'
10 quality systems and the way of getting a high quality
11 product out to market with a high confidence level
12 that the product is meeting that standard without
13 enormous testing loads for the manufacturer or for
14 NIOSH, then we need to bring the figure down. We are
15 asking too much.

16 It is hard to quantify the effect of the
17 distinction between 1.778 and the 2.22, but we believe
18 it could lead to testing regimes and rejection rates
19 and setting of design levels to such an extent that we
20 could be talking millions of dollars extra. Is that
21 scale of investment and loss to the manufacturer,
22 which of course has to passed on in the price of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 product, for no clear advantage?

2 We believe that NIOSH is asking too much
3 in this context and that we are campaigning that we
4 accept the general principle of this approach
5 statistically, but can we bring the numbers down to
6 more realistic levels? Thank you.

7 MR. MATTHEWS: Thank you very much.
8 Comments, questions, responses?

9 DR. CAMPBELL: Let me just make a couple
10 comments to clarify some of our intentions. The
11 intent of the regulation, you said that it wasn't
12 clear to you what our goal was, but you actually
13 stated it quite accurately, and that was to assure the
14 users of these respirators that no more than five
15 percent of the product would fall below that pass/fail
16 line and that we would be 95 percent confident that
17 that was true based on our statistics.

18 So that was, in fact, the intent of that
19 test statistic. That was the same concept that was
20 applied in '87.

21 MR. BENNETT: So you are confirming 95/95
22 or --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. CAMPBELL: Except we've now changed it
2 to a 95 percent confidence level and the basis of that
3 was that confidence levels of 95 seem to be generally
4 what is used in making statistical decisions. But
5 it's nothing more profound than that.

6 MR. BENNETT: Yes but in 1987, the
7 understanding was it was 95 percent confidence that 90
8 percent of the filters would pass. You seem to have
9 added another five percent in this time around, and
10 we're just questioning whether -- you know, it's
11 getting further and further though we have established
12 a sensible starting point.

13 You know, I think the manufacturers would
14 respond if you came back in two years' time and said
15 that we're finding too many filters out there that
16 don't meet the need. But we believe that 95/90 is an
17 extremely high level and is consistent with modern
18 practice and manufacturing methods.

19 But to go to 95/95, you're starting it off
20 at something a bit special suddenly.

21 DR. CAMPBELL: Okay. That was the intent
22 of the proposal. The other difference was that in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 '87, there was sequential testing which was permitted,
2 which we've eliminated because of some concerns that
3 statisticians have about the validity of that.

4 But let me get back to your recommendation
5 of using some non-parametric test; that is, a simple
6 pass/fail test. Are you suggesting that a non-
7 parametric test would be appropriate when a
8 manufacturer has 100 percent testing incorporated into
9 their quality assurance plan?

10 MR. BENNETT: Yes. I would call it a
11 common sense test. If a manufacturer can convince you
12 that 100 percent of his filters are below the number
13 you require, simply because he's measuring them all
14 and throwing the bad ones away, you accept that as
15 being a pass in terms of the product going to the
16 market.

17 Never mind the statistics of it. I'm
18 talking about common sense. For example, as one of
19 the ways out of it -- I think the manufacturer has to
20 make the case. We're not asking NIOSH to be creative
21 on the manufacturer's part, but we are saying, please
22 can you open the door to the manufacturer coming back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 when he has a failure and making a reasonable case
2 that you would accept.

3 In other words, the statistical test is
4 not the only criterion.

5 DR. CAMPBELL: Just a comment about your
6 comments about the normal distribution that, in many
7 cases, that's not accurate of many products. The
8 statisticians who advised us on this suggest that this
9 test is what they call "robust". That is, the outcome
10 is not -- the validity of the outcome is not critical
11 upon the actual distribution unless you have some
12 extreme variations.

13 And if you were doing some screening tests
14 to eliminate failures, than that would be a reason to
15 go to the non-parametric test as you suggest. We
16 understand that.

17 MR. BENNETT: I accept that and the
18 manufacturers certainly support that. The majority of
19 products do fall well within that sort of approach to
20 sampling and analyzing the data. The distributions do
21 behave properly. And even when they don't you still
22 come up with means and deviations that enable you to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 pass the test statistic that you're calling for.

2 It is, perhaps, extreme circumstances, and
3 the one that we can think of that would lead to the
4 most grossly distorted distribution would be 100
5 percent salting and testing where you may throw away,
6 heaven forbid for the manufacturer, 50 percent of his
7 product. It's the principle that we're worried about
8 here.

9 We believe that the vast majority of
10 filters, in particular in the context of module one,
11 will be satisfactorily handled by the test statistic
12 that you're asking for.

13 DR. CAMPBELL: One practical comment
14 concerning 100 percent testing, in terms of the tests
15 that we have proposed in Part 84, in this proposal,
16 those are basically destructive tests. The Part 11
17 tests are not destructive tests and I can understand
18 how you could do those 100 percent, but I'm not sure
19 I understand exactly what you're proposing in terms of
20 doing a test that is destructive and doing that 100
21 percent. I mean, you're not going to have anything
22 left.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. BENNETT: It depends how you do the
2 test. Manufacturers don't necessarily carry out the
3 test in exactly the same way for quality purposes --

4 DR. CAMPBELL: And that's why I'm asking
5 exactly what your proposal is in that area. What test
6 would you do?

7 MR. BENNETT: There are various
8 penetration tests. I think they use similar media,
9 salt and DOP, but they can be used at levels that
10 often don't affect the performance of the filter.
11 They're quite routine and used widely in filter
12 manufacturing.

13 DR. CAMPBELL: The tests that are proposed
14 here measure two characteristics of the filter. One
15 is the inherent efficiency of the filter or the
16 initial penetration.

17 MR. BENNETT: Penetration, yes.

18 DR. CAMPBELL: And the other is the
19 ability of the filter to resist degradation. And I
20 can understand how a screening test or a spot check,
21 such as you just described, could be used to assure
22 that the inherent efficiency was appropriate. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 unless you extend the test, I'm not sure how you would
2 get to that second product.

3 MR. BENNETT: I can't conceive that a
4 manufacturer is ever going to do 100 percent life
5 testing. That is done on a back basis. That's a
6 design issue. What's measured in practice is often
7 penetration and pressure drop.

8 DR. CAMPBELL: But what about degradation
9 of the filter efficiency that occurs because of
10 exposure to the aerosol?

11 MR. BENNETT: In the context of production
12 and quality testing?

13 DR. CAMPBELL: Yes. How would you know
14 that the product you're producing has the desired
15 ability to resist degradation?

16 MR. BENNETT: By experiments and
17 measurement. These things are well established. I
18 mean, particularly in the military context where
19 filters have to be measured on a 100 percent basis and
20 get stored for years with no effect on their life, for
21 example. Filter testing is a well researched subject
22 in terms of manufacturing large numbers, provided you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 limit the tests that you do.

2 It is possible to carry out meaningful
3 tests relating to penetration and pressure drop which
4 don't have any detectable effect on the performance of
5 the filter.

6 MR. CAMPBELL: Are you going to be making
7 some specific recommendations along those lines?

8 MR. BENNETT: These are not in the context
9 of certification. I'm just reflecting that these are
10 standard practices today for many manufacturers. What
11 we're debating here is the probability of 30 filters
12 coming up with a strange result, as a result of the
13 normal practices carried out by the manufacturer in
14 the context of a certification test or perhaps a field
15 audit.

16 DR. CAMPBELL: I understand the concept as
17 it applies to the initial penetration or the initial
18 efficiency. I'm still having a little bit of
19 difficulty understanding how you can screen 100
20 percent of all products to assure that they are
21 resistant to degradation and --

22 MR. BENNETT: No, that's not the proposal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that we're making. That's not a proposal we're
2 making. That would never be cost effective and
3 technically feasible. You would never destroy the
4 filter. I can't see that situation arising. That
5 would be done on a design-proving basis and on a batch
6 basis and you would destroy the filters.

7 MR. METZLER: I have a general comment
8 about the process of quality assurance versus
9 performance testing. I think we will certainly give
10 careful consideration to your comments and tests after
11 statistics indicate that a respirator conforms to
12 standards.

13 We definitely will give careful
14 consideration of that. Please provide your comments
15 for the record.

16 Our interest was in dividing or separating
17 performance statistics from reliability engineering
18 from quality assurance processes at the manufacturing
19 site. And a lot of your discussion started to deal
20 with the manufacturing process controls and the
21 quality assurance aspects of manufacturing in addition
22 to the performance testing statistic mentioned here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 While there is some overlap, we really
2 intend to introduce quality assurance provisions in
3 the quality module that was planned. Overall, our
4 requirements were intended to take a system of today,
5 where we're using three samples, in AQL levels which
6 place the risk of accepting non-conforming products on
7 the worker and placing a greater risk on the
8 manufacturers for rejecting those non-conformances.

9 So essentially, we are trying to achieve
10 a greater balance between the manufacturer's risk of
11 having additional waste at the manufacturing site,
12 versus workers consuming or having a greater risk of
13 accepting non-conforming products.

14 MR. BENNETT: Indeed. It always seems a
15 matter of balance. It's simply the way that --

16 MR. METZLER: Right. Your response to
17 that was that it would involve cost and technical
18 complexity of the equipment.

19 MR. MATTHEWS: Okay?

20 MR. BENNETT: Okay.

21 MR. MATTHEWS: Thank you. Let us -- we've
22 been at this for an hour and a half. Could we take

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 just a quick, say ten minute, break? I would like to
2 finish ISEA today. We have one more presentation from
3 Don Wilmes. Don? Yes, how long do you figure it
4 would take you?

5 MR. WILMES: Five minutes.

6 (Laughter)

7 MR. MATTHEWS: We've heard that before.
8 What's the vote? Ten minute break or five minutes
9 from Don Wilmes? Come on down.

10 SPEAKERS: Five minutes.

11 MR. MATTHEWS: Come on down.

12 MR. WILMES: I do have a very short
13 presentation. I originally had two subjects. One was
14 fit testing. The other was assigned protection
15 factors, but I believe Barry addressed assigned
16 protection factors in terms of the respirator user
17 notice in his comments.

18 Just half a second on that issue again
19 which he didn't cover is that ISEA would recommend
20 that NIOSH seriously consider the assigned protection
21 factors in the 1992 ANSI standard when it develops
22 these interim assigned protection factors prior to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 developing its assigned protection factor module.

2 Getting back to the first subject I was
3 going to cover is fit testing. Section 84.181 and
4 84.182 of the proposed regulation require fit testing
5 during certification of all particulate respirators.

6 As we had done in 1987, ISEA recommends
7 that fit testing not be used as a condition of
8 certification. Fit testing as part of certification,
9 we feel creates a false sense of security to the
10 respirator user. We think it also discourages fit
11 testing in respirator use, which we believe is the
12 only place that is really important.

13 To date, no one has really made any
14 relationship between fit testing on a group of people
15 in Morgantown as compared to what will happen in a
16 particular work force.

17 In addition, OSHA requires as a part of
18 respirator use, that every person be fit tested on
19 each and every type of respirator that they'll be used
20 in actual use, which we think is the only real
21 meaningful fit test that is done.

22 Secondly, in the proposal, the requirement

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 for fit testing uses isoamyl acetate, which is an
2 organic vapor. And these are particulate respirators,
3 so therefore, there's going to have to be substantial
4 modification made to them to make them capable of
5 removing organic vapor.

6 To do this, you're going to have replace,
7 for example, the media on a disposable respirator with
8 a substantial amount of carbon in order to perform
9 this test. And I guess we feel that the product that
10 is going to be actually fit-tested will in no way
11 resemble the product that's actually going to be used.
12 We don't feel it's a meaningful test.

13 Other types of particulate respirators
14 with cartridges, this is less of a problem. The
15 filters can be replaced with cartridges, but then
16 there is concern about using an organic vapor fit test
17 when indeed the actual application is a particulate.

18 ISEA recommends that no fit testing be
19 done as a part of certification, but efforts be made
20 to reinforce fit testing as a part of respirator use.
21 However, if fit testing is to be done, we would
22 recommend that a particulate fit test agent be used in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 terms of Bitrex, which is a qualitative fit test that
2 can be used following the saccharine qualitative fit
3 test protocol contained in the Lead Standard, or
4 perhaps a large particle fit test using a particle of
5 approximately two microns.

6 Secondly, in the standard, NIOSH makes a
7 distinction between respirators with replaceable
8 filters and respirators without replaceable filters.
9 In a nutshell, what it requires was a two minute
10 isoamyl acetate test for a disposable respirator and
11 a five minute test with exercises for an undisposable.

12 We see no rationale for making such a
13 distinction since their uses will be essentially the
14 same. If there is going to be a distinction, it would
15 be probably better to make the distinction based on
16 the type of respirator. And what we would recommend
17 if you're going to have two types of fit test
18 protocols, is that perhaps your current Type C would
19 be used with the two-minute version, versus Type A and
20 B with the five-minute version with exercises.

21 You could perhaps justify this on what
22 their anticipated use would be and that A and B would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 probably be used in more hazardous atmospheres. Thank
2 you, that's all I have. And I think it was under five
3 minutes.

4 MR. MATTHEWS: Questions, comments?

5 DR. CAMPBELL: A quick question. You
6 mentioned the large aerosol fit test as an
7 alternative.

8 MR. WILMES: Yes.

9 DR. CAMPBELL: I'm wondering with the new
10 filters that are proposed, would you really need a
11 large aerosol to do a fit test?

12 MR. WILMES: I guess that would depend on
13 what your pass/fail criteria was going to be.
14 Generally it's accepted these days with a half-mask
15 respirator that the generally used fit tests require
16 a fit leakage of no more than one percent, which could
17 present a problem with like a Type C respirator, for
18 example.

19 DR. CAMPBELL: But if you could account
20 for that, it might be possible to --

21 MR. WILMES: I don't think you can
22 mathematically account for it because the penetration

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 is based on the challenge rate. And when you're
2 wearing a respirator, your breathing patterns
3 fluctuate continuously and so you're going to get
4 unmeasurable fluctuations of challenge.

5 DR. CAMPBELL: Okay. All right, thank
6 you.

7 MR. MATTHEWS: Rich?

8 MR. WILMES: Thank you.

9 MR. MATTHEWS: Okay, thank you. I had
10 mentioned Technol, but I may exercise the prerogative
11 of the chair to roll Technol over until tomorrow to
12 prevent you from otherwise being stoned or mobbed. I
13 do promise though that you will have air time tomorrow
14 at the end of the presentations.

15 Given that, I thank everyone for their
16 patience. We feel very pleased the way this has gone.
17 We appreciate all the hard work demonstrated by all
18 the presenters and we will kick off at 9:00 a.m.
19 tomorrow morning with Thomas J. Nelson of American
20 Industrial Hygiene Association as the number one
21 hitter at 9:00.

22 Have a good night. See you in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

morning. Thank you.

(Whereupon, the hearing was concluded for
the day at 5:10 p.m., to be resumed the following day,
Friday, June 24, 1994, at 9:00 a.m.)

C E R T I F I C A T E

This is to certify that the foregoing transcript
in the matter of: NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH
INFORMAL PUBLIC MEETING

Before: GENE MATTHEWS, MODERATOR

Date: JUNE 23, 1994

Place: WASHINGTON, D.C.

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to type-
writing.



NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005