

NATIONAL INSTITUTE OF
OCCUPATIONAL SAFETY AND HEALTH

+ + + +

PROPOSED RULE ON
RESPIRATORY PROTECTIVE DEVICE

+ + + +

INFORMAL PUBLIC HEARING

+ + + +

FRIDAY,

JUNE 24, 1994

+ + + +

The hearing was held in the Ballroom of
the Vista Hotel, 1400 M Street, N.W., Washington,
D.C. at 9:00 a.m., GENE W. MATTHEWS, moderating.
PRESENT:

GENE W. MATTHEWS, Moderator

PANEL MEMBERS:

- ROLAND J. BERRYANN
- DONALD L. CAMPBELL, Ph.D.
- CHRISTOPHER C. COFFEY
- RICHARD W. METZLER

- ERNEST S. MOYER, Ph.D.
- ROBERT J. MULLAN, M.D.
- JEFFREY A. PETERSON

ALSO PRESENT:

WENDELL ANDERSON, DOD, Retired

JACALYN L. BRYAN, Association for

Professionals in Infection Control and
Epidemiology, Inc.

DR. DAVID K. HENDERSON, Society for
Healthcare Epidemiology of America

JEFFREY KILEY, Air Techniques

WILLIAM M. LAMBERT, Mine Safety
Appliances Company

TRISH McBREEN, Health Care Association
of New York State

THOMAS J. NELSON, American Industrial
Hygiene Association

JACK O'LEARY, American Mining Congress

JAY A. PARKER, Glendale Protective
Technologies, Inc.

JOE RUMMLER, Tecnol, Inc.

ELIZABETH SOMMERS STREVEY, Greater New
York Hospital Association

I-N-D-E-X

AGENDA ITEM	PAGE NUMBER
Call to Order	4
Thomas J. Nelson, CIH - American Industrial Hygiene Association	5
Jacalyn L. Bryan - Association for Professionals in Infection Control and Epidemiology, Inc.	33
Elizabeth Sommers Strevey - Greater New York Hospital Association	40
Dr. David K. Henderson - Society for Healthcare Epidemiology of America	46
Jack O'Leary - American Mining Congress	52
William M. Lambert - Mine Safety Appliances Company	61
Jay A. Parker - Glendale Protective Technologies, Inc.	119
Trish McBreen - Health Care Association of New York State	134
Jeffrey Kiley - Air Techniques	142
Joe Rummel - Tecnol, Incorporated	147
Wendell Anderson - DOD, Retired	152

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:00 a.m.)

3 CALL TO ORDER

4 MODERATOR MATTHEWS: For those wandering
5 in from parts unknown, this is day two of the proposed
6 rule on respiratory protection devices. I'd just like
7 to say we're very pleased with the way the first day
8 went yesterday. We certainly got a lot of very
9 helpful comments on this, and we thank you, thank
10 everyone yesterday for helping us out.

11 The schedule this morning, two add-ons
12 have signed up in the back: one, Joe Rummler from
13 Technol. We were trying to work him in yesterday. And
14 also there's been a Wendell Anderson, retired, DOD.

15 Both have requested about 5 to 10 minutes
16 each. So those, with time permitting, will be added
17 on after Jeffrey Kiley of Air Techniques in the 10:45
18 a.m. to 12:00 noon slot.

19 Again, we still are hopeful that we can
20 proceed expeditiously and be done before lunchtime,
21 but, again, this is not an exact science. So we'll
22 see how it goes. Okay?

1 First off, then, this morning is Thomas
2 Nelson from American Industrial Hygiene Association.
3 Tom?

4 MR. NELSON: Good morning. I'm Tom
5 Nelson, Vice Chair of the AIHA Respiratory Protection
6 Committee. We appreciate being given the opportunity
7 to provide testimony regarding NIOSH's proposed rule.

8 The Respiratory Protection Committee is
9 AIHA's technical committee that deals with issues of
10 respiratory protection. The committee has 30 active
11 members and approximately 10 former members that act
12 as consultants to the committee. The members and
13 consultants come from a wide variety of industries,
14 government and academic groups, providing a broad base
15 of knowledge and experience.

16 The committee supports NIOSH's efforts to
17 update the filter testing section, Subpart K for
18 certification of filters for respirators. We also
19 support NIOSH's proposed plan to update the entire
20 regulation in a modular process. This process does
21 allow NIOSH to prioritize upgrades.

22 The committee does have concerns with the

1 proposal. These are in five broad categories:
2 modular approach, powered air-purifying respirators,
3 isoamyl acetate fit testing, the filter efficiency
4 classifications, and the grandfathering of current
5 filters.

6 The modular approach. Specifically, even
7 thought we support the approach, we have concerns that
8 this approach has not been tried until this
9 rulemaking.

10 When modules are developed, how will the
11 effect on other modules be determined? Will the
12 effect on previously published modules be determined?
13 What input will NIOSH utilize in writing modules?
14 There are many groups with a great deal of experience,
15 such as our committee, that can be used to help
16 formulate modules.

17 We are also concerned that --

18 MODERATOR MATTHEWS: Excuse me. Could you
19 speak just a little more into the microphones?

20 MR. NELSON: Yes. We are also concerned
21 that a plan be developed to address the international
22 integration of modules. And, finally, we see the need

1 for a separate module to be added to the schedule for
2 powered air-purifying respirators, supplied air
3 respirators, gas masks, and combination respirators.

4 In reviewing the test requirements for
5 PAPR systems, the committee found the requirements
6 difficult to follow. This is the result of combining
7 filter tests with system tests.

8 We recommend that the test requirements
9 for filters should only address filter performance,
10 that the system performance of PAPRs should be
11 addressed in a separate module dealing specifically
12 with these systems, which are unique to PAPRs, such as
13 performance testing using breathing machines.

14 Currently NIOSH recognizes only two types
15 of PAPRs: tight-fitting and loose-fitting. The ANSI
16 Z88.2 standard, 1992, on respiratory protection
17 recognizes four types: half mask, full facepiece,
18 loose-fitting facepiece, and helmets and hoods.

19 A visual examination of the types of inlet
20 coverings would lead one to believe that four types
21 exist. This is also supported by the results of
22 workplace protection factor studies that have found

1 differing levels of protection for these types of
2 PAPRs.

3 We recommend, then, that NIOSH should add
4 the ANSI definition of loose-fitting facepieces to the
5 proposed rule and include loose-fitting facepieces as
6 a separate category of PAPRs.

7 In Paragraphs 181 and 182, NIOSH proposed
8 a tightness test using isoamyl acetate. The purpose
9 of the test is not given, but we believe that it is
10 unnecessary, is not reproducible, provides no benefit.

11 Fit testing must be done on an individual
12 basis. Prior testing during certification will not
13 assure that an individual receives an adequate fit.

14 Respirator fit is an important factor in
15 how a respirator performs, so important that ANSI
16 requires that each person who will use a tight-fitting
17 respirator be given a fit test.

18 OSHA in most of their substance-specific
19 standards also requires that respirator users be
20 fit-tested. However, the tightness test as required
21 by NIOSH does nothing to help assure adequate fits.

22 In the requirement, NIOSH has not provided

1 a test that can be reproduced by others. No
2 descriptions of the face sizes and qualities of a test
3 panel have been given.

4 Unlike the isoamyl acetate fit test, as in
5 OSHA's lead standard, no provision is given to
6 determine if a person participating in the test can
7 sense the presence of isoamyl acetate that leaks into
8 the respirator. The test conditions vary among the
9 two tests for test time and test exercises.

10 Our recommendation, with an understanding
11 that personal fit testing is a requirement of a
12 respiratory protection program, is that the isoamyl
13 acetate fit test be deleted from the proposal or
14 replaced with a more appropriate and scientifically
15 supported test.

16 For filter efficiencies, the current
17 respirators provide adequate filter efficiency, as
18 evidenced by the number of workplace protection factor
19 studies. For example, for half mask respirators, the
20 average workplace protection factors have been well
21 over 100, with fifth percentile estimates of over 10.

22 In changing the filter test, NIOSH is

1 moving the least efficient respirator filter class
2 from one that would test at 20 to one percent
3 penetration depending on the exact manufacturer to a
4 class with no more than 5 percent penetration.

5 Since the test is so demanding and since
6 current respirator filters are adequate, a penetration
7 limitation of 90 percent for the lowest class would
8 provide for improved respirators with less of a burden
9 on the people who must use the respirators.

10 To get higher filter efficiencies will
11 require that filters have more pressure drop that may
12 increase leakage, may make them more uncomfortable and
13 less likely to be used properly, resulting in an
14 overall decrease in protection.

15 A 10 percent filter penetration during
16 testing is not an unreasonable limit for a respirator
17 filter when the test aerosol is considered. For
18 example, the sodium chloride aerosol is specified to
19 have a count medium diameter of .06 to .11 with a
20 geometric standard deviation of 1.86.

21 It's highly unlikely that such an aerosol
22 will occur outside the laboratory in any workplace

1 setting. So the field performance of the filter that
2 passes at 90 percent efficiency will always be more
3 efficient than 90 percent.

4 I guess yesterday was the one question on:
5 How does this match up? I think you asked 10 percent
6 filter plus 10 percent facepiece. I'd like to add my
7 own explanation for that. I've seen that, and it sort
8 of troubled me.

9 I think if you look at it, there are two
10 parts. The first part is filter efficiency. In
11 talking a little bit about that, I think it will be
12 better than 90 percent in the workplace.

13 But assume it's 10 percent leakage for the
14 moment. The other part you look at is the face
15 fitting. The calculations are assuming 10. That 10
16 comes from the assigned protection factor. The
17 assigned protection factor is derived from studies
18 that include both face fit and filter leakage because
19 with those workplace studies, that's what you're
20 dealing with.

21 So to be, I think, the right type of
22 calculation, it's a calculation and estimate of

1 performance that you need to back that filter leakage
2 out for the current filters before applying that face
3 fit number.

4 Now, I think a more proper number to be
5 used would be 100 for face fit, which would be the
6 minimum required fit factor for using a respirator if
7 you're going to use that calculation. It's either
8 quantitative or qualitative.

9 And then the form itself, I still don't
10 quite understand where it comes from. I have some
11 understanding of it, but then you're talking about
12 adding 100 and one-tenth, which basically brings you
13 back to the assigned protection factor of 10. So I
14 feel that going to a 90 percent leakage will not
15 really affect respirator performance overall.

16 The committee's recommendation is that
17 filter efficiencies be set at 99.97, 95, and 90
18 percent. We feel that this will provide an
19 appropriate range of filters with enough
20 differentiation to meet the needs of workplace
21 protection, including the health care setting.

22 We are concerned with the length of time

1 allowed for grandfathering in the proposal. The
2 committee does not believe that a two-year period is
3 the proper amount of time.

4 Requiring that new applications for
5 approval meet the new requirements of 30 days provides
6 little time for the development of products,
7 performance testing, procurement of testing equipment.
8 This work cannot begin until the final standard is
9 published.

10 A two-year limit on the sale and
11 distribution of current certified respirators does not
12 provide enough time for NIOSH to process applications
13 considering that development cannot even begin until
14 the final rule is published.

15 Disallowing extensions on approved
16 certified respirators under 30 CFR Part 11 will limit
17 the supply of equipment, which may cause a disruption
18 in the workplace.

19 Finally, no provisions have been given to
20 the grandfathering of respirators which may be
21 affected by changes in future modules.

22 The committee recommendation considering

1 the range of unknowns is to extent the time line to
2 four years for the sale of equipment currently
3 approved and a two-year limitation for the extension
4 of approvals under 30 CFR Part 11.

5 If manufacturers are able to obtain
6 approvals under the new procedures and get these to
7 the marketplace sooner than this, manufacture
8 marketing will create a swifter conversion. We
9 believe that the current respirators are adequate and
10 that there is no health-based pressing need to make a
11 faster conversion.

12 Finally, we are particularly concerned
13 with the effect of the proposal on respirator
14 selection and assigned protection factors. We
15 recommend that the current assigned protection factors
16 remain intact until the proposed module on assigned
17 protection factors is promulgated.

18 We appreciate this opportunity to testify,
19 and we offer to NIOSH an opportunity to work with our
20 AIHA Respirator Committee in developing future
21 modules, such as that for the assigned protection
22 factor.

1 Thank you.

2 MODERATOR MATTHEWS: Thank you.

3 A couple of reactions. One, your first
4 comment about the modular approach, "How will this fit
5 with the other modules and what type of comment and
6 process in developing the other modules?"; I think we
7 dealt with some of this yesterday.

8 MR. NELSON: Yes.

9 MODERATOR MATTHEWS: Clearly we have set
10 out a series of modules which we will do through
11 Administrative Procedure Act notice and comment on all
12 of those.

13 It is a bit of a chicken and egg problem
14 because we're starting with one and that raises
15 questions for the other modules that have to do with
16 protection factors and workplace and all of the
17 others.

18 I guess the best view is to go back to Dr.
19 Rosenstock's comments initially that we really are
20 sort of shifting paradigms here to a continuous
21 improvement.

22 We will be working on this continuously,

1 the industry, the agency, and the workers' groups, to
2 try to continue to put improvements in. The art for
3 the agency is to do this in a way that we don't
4 suddenly leap out of a black box with a surprise for
5 everyone.

6 We've got to continue to communicate so
7 that the worker community understands where we're
8 heading and the manufacturers understand where we're
9 heading where we don't run up big R&D costs that are
10 a dead end. So we're trying to be as open as we can
11 be about this given the limitations of our knowledge
12 on some of these issues.

13 And we can talk about goals. Again, the
14 same discussion yesterday about eventually wanting to
15 merge with a uniform international standard, to say
16 another example.

17 I have two just technical questions. I
18 don't quite understand your 10 percent APF point.
19 Now, if we're talking about a 10 percent filter
20 leakage, a 90 percent efficacy of a filter, regardless
21 of the mathematical formulas that are used, which I
22 certainly don't understand, it is still intuitively

1 obvious that also for a half mask or a quarter mask,
2 there is leaking occurring around the face seal.

3 MR. NELSON: Right.

4 MODERATOR MATTHEWS: So it would seem like
5 any calculation would lead you eventually to an APF of
6 less than 10 if you've got filter penetration of 10
7 percent.

8 MR. NELSON: I guess the question is:
9 Which numbers do you use for assigned protection
10 factor if you look at the studies on half mask? The
11 average performance is somewhere around fit factors or
12 protection factors of 200 to 900.

13 So you're talking about leaking of one
14 percent or a tenth of a percent. So adding that,
15 then, to a filter efficiency that's 90 percent
16 efficient or 10 percent leakage, you're not adding
17 that much to it.

18 The calculation that you were presenting
19 was adding 10 percent to 10 percent. Plus, you're
20 adding 10 percent to something less than one percent.
21 So it's not --

22 MODERATOR MATTHEWS: Your point is that

1 you're saying it is possible that the face fit would
2 be only a leakage of one percent.

3 MR. NELSON: Or less, yes. It's tested
4 that way as far as fit testing.

5 MODERATOR MATTHEWS: Okay.

6 MR. NELSON: Yes.

7 MODERATOR MATTHEWS: I understand what
8 you're saying.

9 MR. NELSON: Yes.

10 MODERATOR MATTHEWS: The last point. I
11 may have misunderstood you, but I thought I heard you
12 to say that you feel the current respirators are
13 adequate.

14 We have articulated in both our
15 tuberculosis document and in this rule our concerns
16 about filter penetration on the currently existing DMs
17 and DFMs.

18 MR. NELSON: Right.

19 MODERATOR MATTHEWS: Were you embracing
20 that class as well --

21 MR. NELSON: Yes. I think --

22 MODERATOR MATTHEWS: -- in your general

1 comment?

2 MR. NELSON: -- if I look at the ANSI
3 standard, it has provisions for selection of
4 respirator, which includes looking at particle size as
5 part of that decision. And if you are selecting
6 respirators properly, then they will give you that
7 performance.

8 If you're taking a dust/mist respirator
9 and using an aerosol that's .1 micrometers in
10 diameter, it's not the proper respirator for that use.

11 MODERATOR MATTHEWS: Particle size issue.

12 MR. NELSON: Particle size.

13 MODERATOR MATTHEWS: Then that leaves --
14 I'm sorry -- just one last comment. I don't mean to
15 drag this out. You also indicated, if I heard you
16 correctly, that the small particle sizes for which we
17 are testing the penetration levels really don't exist
18 in workplaces.

19 MR. NELSON: Won't exist as the test
20 aerosol. There are going to be small particles.
21 You're testing with a particle that's a very narrow
22 range. When you get in the workplace, most likely

1 you're going to see larger particles.

2 But even if you had small particles,
3 you're not going to find industries where the particle
4 range is such a narrow standard deviation, which means
5 that since you're at the most penetrating particle,
6 any filter penetration is going to be better than that
7 in the real world.

8 MODERATOR MATTHEWS: Okay.

9 MR. NELSON: Yesterday I guess there was
10 a comment. And I understand that it's very nice to
11 say what we're going to do is use this most
12 penetrating particle. That way it doesn't take any
13 brains for anybody to go ahead and use a respirator,
14 that anybody anyplace can select a respirator and
15 select it properly.

16 I sort of disagree a bit with that from
17 the standpoint that I believe that with respirator
18 programs, you have to have knowledgeable people
19 involved.

20 And the selection is a problem. It's a
21 problem in any industry. You need people who are
22 technically trained available to make those decisions.

1 MODERATOR MATTHEWS: Right. But one of
2 the union comments that we elicited in the dialogue
3 was it is very, very difficult for employers and
4 employees to know the particle size that exists in a
5 particular environment.

6 MR. NELSON: It is specifically for that
7 environment, but I think you can do research. And
8 there's probably a lot of published information. You
9 can do it by industries, like paint spray industry.

10 You know, the particle size in that
11 industry is a very large particle. And a concern over
12 a small particle I don't think is real. I think there
13 is published data on the particle size distribution
14 for paint spray operations.

15 When you look at other industries, I think
16 you'll find similar. So you can cover a wide range of
17 industries very quickly just saying "We know what this
18 is generally in this industry."

19 MODERATOR MATTHEWS: Okay. I understand
20 your point.

21 MR. METZLER: Yes. I have a few questions
22 and comments. Are you representing today AIHA or the

1 Respirator Committee?

2 MR. NELSON: The AIHA Respirator
3 Committee.

4 MR. METZLER: You mentioned that there are
5 30 members on the Respirator Committee. Could you
6 tell me the percentage of membership that is
7 manufacturers?

8 MR. NELSON: Offhand, no. There are -- I
9 don't know -- five or six members that are
10 manufacturers.

11 MR. METZLER: Can you tell me the number
12 of members who are labor representatives, laborers,
13 workers?

14 MR. NELSON: There are no laborers or
15 workers. That's a professional -- you had to be an
16 AIHA member. Nobody from the labor industry has
17 joined the committee.

18 MR. METZLER: How do you receive your
19 input from workers in setting any guideline documents
20 that you produce?

21 MR. NELSON: I think that this is being
22 done by the committee members. So it's a professional

1 organization. The professionals are setting the
2 guidance.

3 MR. METZLER: Then I have some responses
4 with regard to some of the concerns beyond what Gene
5 had mentioned. With regard to the grandfather
6 periods, where you have expressed a concern that
7 additional module grandfather periods were not
8 mentioned in this first module, it was our intention
9 that grandfather periods may be adjustable and
10 tailored to a particular module and the changes that
11 would be made to the particular respirator type.

12 With regard to some of the concerns over
13 respirator selection yesterday, it was also discussed,
14 the need for a user's guide of some sort making a
15 transition from Part 11 to Part 84 respirators. We
16 believe that that type of a guide will be needed for
17 each and every module if one is produced.

18 Your last comment, I believe you were
19 making a suggestion that APF issues ought to be
20 covered in this module. Did I understand that?

21 MR. NELSON: I'm saying that I think you
22 should use your current APF, or the ANSI assigned

1 protection factors, until you actually come out with
2 that module, that it should be the guidance so that
3 there is time for input from the different groups.

4 MR. METZLER: The last point that I would
5 underscore, Gene's remarks about the new paradigm, a
6 greater participation and partnership that was
7 mentioned yesterday, as a first start, it is our
8 intention that the "Federal Register" announcement of
9 the modular approach in those modules which were
10 identified, in addition to comments here and those
11 that will go on the public record, will help us
12 establish priorities and identifying particular
13 modules that would be worked on.

14 And we do understand the concern that
15 continuous improvement too frequently could actually
16 create additional problems in adjustments to modules
17 that were just promulgated.

18 And so we will be taking that into serious
19 consideration and looking for public assistance in
20 identifying the modules in schedule for each module.

21 MR. NELSON: I know that the AIHA
22 Respirator Committee, it's a consensus organization.

1 So a time line like this one, which I know you have
2 some very good reasons for, it's very hard for us to
3 get our positions together and get all of the
4 necessary approvals. Longer notice would be very
5 helpful for us.

6 MR. METZLER: I understand.

7 DR. MOYER: I have two points. I'd just
8 like to ask if AIHA does endorse the ANSI Z88.2
9 criteria for use of selection of respirators based on
10 the two-micron particle size.

11 MR. NELSON: The committee has no formal
12 position on that.

13 DR. MOYER: Okay. And the second point:
14 In your estimation, is there support and recognition
15 that there is a need in the workplace for a
16 solid-only-type filter media.

17 MR. NELSON: I think from the standpoint
18 of from my understanding of discussions I've had with
19 some of the people that make the filters, that with a
20 solid-only filter, you can do it cheaper. It's a
21 little bit easier to make that type of filter, which
22 means that you'll have filters available, I think.

1 For most workplaces solids only are what
2 you're dealing with. Having to deal with liquids is
3 specific to some industries. I think my experience in
4 the chemical industry is most of the time it's been
5 solids. You know, it's solids only.

6 DR. MOYER: Okay. So from your past
7 experience, which has been extensive in related to
8 workplace studies and things of that sort, you think
9 there is a place, then, for a solid-type only-type
10 filter?

11 MR. NELSON: Yes.

12 DR. MOYER: Okay.

13 MODERATOR MATTHEWS: Roland?

14 MR. BERRY ANN: Yes. Just revisiting the
15 implementation, you made the comment of a 30-day
16 requirement to apply for the new ones. I'd just like
17 to clarify that that effective date allows
18 manufacturers who are ready to apply early, doesn't
19 require it.

20 The grandfathering clause allows those who
21 are not ready who have not acquired the capability to
22 continue to market the current ones. And we thought

1 that was important to allow the new technology to get
2 out there for worker safety.

3 MR. NELSON: Right. I understand that.
4 Thank you.

5 MODERATOR MATTHEWS: Don?

6 DR. CAMPBELL: You mentioned a WPF study
7 where the APF was over 1,000. Would you comment on
8 the particle size that was associated with those
9 studies or typically associated with them?

10 MR. NELSON: One of the things I've done
11 is I've done an analysis by combining several studies.
12 And when you look at the different studies, their
13 average varies from study to study.

14 But, for example, if you take a look at
15 the HEPA filters only combining data from five or six
16 studies, where HEPA filters were the subject of the
17 workplace study protection, that geometric mean for
18 that filter was 900.

19 There are studies, like the one we did on
20 lead. It was up over 1,000. I can't remember the
21 individual studies where they are. I can send you a

22 --

1 DR. CAMPBELL: Yes. If you could submit
2 those details to the record, it would be appreciated.

3 MR. NELSON: Sure.

4 DR. CAMPBELL: Also just to clarify one of
5 your recommendations, you suggested deleting the face
6 fit test. And I wasn't sure whether you were
7 recommending that it be deleted from this module or
8 that we, instead, develop a replacement for that to
9 include in this first module.

10 MR. NELSON: I would think the first
11 choice would be to delete it because I don't think it
12 really adds value. But if it's something that the
13 agency feels you must have in there, then I think you
14 need to go ahead and take a look at developing some
15 other kind of test, changing the isoamyl acetate to
16 more like the real fit test if you're going to do that
17 or use a saccharine fit test for particulate
18 respirators.

19 But I don't know how that really connects
20 with the workplace. And if a respirator doesn't fit
21 your test panel, what does that really mean?

22 If someone designs a respirator for a

1 particular subset of a population, that's very small
2 faces. And it doesn't fit with your panel. So it's
3 not going to be used on big faces because you require
4 fit testing anyway.

5 DR. CAMPBELL: Now, our intention with
6 this first change was to address the filter
7 penetration issues, realizing that there are a lot of
8 other issues that need to be addressed in the future.

9 And I'm guessing -- tell me if I'm on the
10 same wavelength -- that the reason that you're
11 recommending this is associated with the fact that
12 when many of especially the disposable types are
13 redesigned with new filter media, they would be fit
14 tested as part of the certification criteria.

15 And a reason for not doing that would be
16 that your recommendation is that it's not a meaningful
17 test.

18 MR. NELSON: I think it applies the last
19 numeric phase be consumed, that, again, fit testing is
20 on an individual basis. And whether or not it passes
21 on a panel really has no meaning to an individual
22 worker.

1 DR. CAMPBELL: Okay. Thank you.

2 DR. MOYER: One additional question, Tom.
3 In your estimation, from workplace-type studies that
4 you've done, the loading criteria that are presented
5 in this module do, in fact, represent worst case-type
6 loading?

7 MR. NELSON: From the number of workplaces
8 that I've been in, even for nuisance dust, I think, I
9 would look at that exposures of 20 milligrams per
10 cubic meter of anything would be highly unusual in
11 workplaces.

12 So if you're talking about a typical day,
13 for 20 milligrams, it would work out to a
14 200-milligram loading on that filter for a day. So I
15 think that's an upper bound.

16 Most workplaces you're talking about a
17 milligram is dusty. It's visible. And you don't
18 operate most manufacturing operations with visible
19 dust in the air.

20 There are some that do. Most I think are
21 not. It's like you're losing too much product is the
22 way I've heard it described.

1 DR. CAMPBELL: Let me come back to the fit
2 test question again. How would you suggest that we
3 would deal with a respirator that might be submitted
4 that has an obvious fitting problem? In fact, we see
5 some of those from not manufacturers who are currently
6 in the market, but maybe people who are interested in
7 developing a respirator.

8 And at least the fit tests that we now do
9 eliminates those that are obviously not going to pass
10 any kind of fit test. I mean, it screens out the
11 extremes.

12 Are you suggesting that we could do that
13 based on a subjective judgment or

14 MR. NELSON: I guess the real issue is
15 that the fit testing should be part of the regulation
16 for a program and you're trying to control that from
17 certification.

18 Where is the proper place to control that
19 now? There are people who don't do fit testing.
20 They're violating an OSHA standard, basically.
21 They're selecting a respirator wrong.

22 It's very difficult to say that for

1 certification, you should control that part of the
2 program. I guess if the respirator sold and it
3 doesn't fit anyone, they're not going to sell very
4 many and they're not going to stay in business very
5 long, but if that respirator fits a person and that
6 person's happy with it and they pass a fit test, it
7 should be in the marketplace.

8 DR. CAMPBELL: My concern was, though,
9 that that could put us in the position of certifying
10 a respirator. It would have the NIOSH approval label
11 on it that really wouldn't even pass a laugh test.

12 MR. NELSON: Yes. But, again, that's
13 supposed to be done in the workplace. If none of them
14 actually do, people can't use it.

15 DR. CAMPBELL: Thank you.

16 MR. METZLER: I have a question that's
17 related to the path that Don was on. Do you have any
18 estimates in AIHA Respirator Protection Committee on
19 the number of workers who are without an industrial
20 hygienist or safety professional to assist them in
21 selecting a respirator with a respiratory protection
22 program?

1 MR. NELSON: No, I don't. No, no figures
2 on that.

3 MR. METZLER: Okay. We view AIHA's inputs
4 as extremely valuable in helping us formulate our
5 final proposals and future proposals, and we
6 appreciate a continued dialogue in getting additional
7 information from you and in continuing to set the
8 modules.

9 MR. NELSON: Yes.

10 MR. METZLER: Thank you.

11 MR. NELSON: We appreciate any opportunity
12 to help you.

13 MR. METZLER: Thank you.

14 MODERATOR MATTHEWS: All right. Next is
15 Jacalyn Bryan, Association for Professionals in
16 Infection Control and Epidemiology.

17 MS. BRYAN: Good morning. My name is
18 Jacalyn Bryan. I am here today to testify for the
19 Association for Professionals in Infection Control and
20 Epidemiology.

21 APIC is a multi-disciplinary organization
22 of over 10,000 health care professionals who practice

1 institutional epidemiology, quality improvement, and
2 infection control in a variety of health care settings
3 throughout the United States.

4 One of our primary roles is to develop and
5 implement sound scientific strategies for protecting
6 our patients, staff, and the public from acquiring
7 infectious diseases. Our profession relies on
8 scientific data and epidemiologic methods to prevent
9 disease transmission.

10 We support all efforts to promote
11 standards of prevention which are scientifically
12 sound, realistically achievable, and which service all
13 who encounter the health care environment, including
14 patients, workers, students, and persons from the
15 community. We welcome the opportunity and are pleased
16 to respond to NIOSH's proposed rule on respiratory
17 protective devices.

18 In September of 1993 APIC responded to
19 OSHA's request for comment on the proposed enforcement
20 policy and procedures for occupational exposure to TB.
21 One of the major concerns we expressed in response to
22 OSHA's proposed enforcement policy was the lack of

1 sufficient data to support the HEPA particulate
2 respirator mask as a minimum and universal standard
3 for respiratory protection against TB.

4 The move to such a standard would impose
5 an inappropriate burden on personnel, material, and
6 fiscal resources. We have stressed that the
7 scientific support for these devices is nonexistent.

8 Respiratory protection has always been
9 acknowledged to be the least important element in the
10 OSHA-supported hierarchy of prevention that relies on
11 early identification of infected cases and
12 implementation of engineering controls as primary
13 prevention strategies.

14 There now is sufficient scientific
15 evidence to suggest that when primary prevention
16 strategies are implemented, transmission is
17 interrupted.

18 For example, at the APIC annual
19 educational conference held in May of 1994 in
20 Cincinnati, Ohio, representatives from the Centers for
21 Disease Control, CDC, announced that the outbreaks of
22 multi-drug-resistant tuberculosis which were widely

1 reported in the media had returned to previous
2 baseline rates. This was accomplished primarily by
3 implementing the requirements of the 1990 TB
4 guidelines published by the CDC.

5 These guidelines did not include the use
6 of special high efficiency particulate air filtered
7 respirators. Early diagnosis, treatment, and directly
8 observed therapy were the interventions that had the
9 greatest impact in quelling these outbreaks. This
10 finding was predictable.

11 We are concerned that the disproportionate
12 focus on respirators for controlling occupational
13 exposure to TB created an erroneous impression that
14 respirators are the primary intervention for health
15 care worker protection.

16 The concerns that we have previously
17 expressed remain and are documented in responses to
18 OSHA and CDC. However, we support NIOSH's proposed
19 rule because it allows manufacturers to develop a
20 broader range of respirators which meet the CDC's
21 performance criteria as outlined in the 1993 proposed
22 TB guidelines.

1 This proposal essentially removes the
2 earlier impractical NIOSH recommendation to use
3 powered air-purifying respirators and allows options
4 other than the current OSHA-mandated HEPA PRs. In
5 essence, it is a step forward in developing a more
6 scientific approach to the prevention of occupational
7 exposure to TB.

8 We feel that the new NIOSH performance
9 standards will provide a fair and reliable way of
10 evaluating PR use in the future. APIC recognizes that
11 the certification process finally addresses the health
12 care setting and that Class C filtered respirators
13 with a 95 percent filtration efficiency should be
14 acceptable for most health care worker needs.

15 We also recognize that fit testing
16 programs will still be required, but the total program
17 should be less costly as a broader range of certified
18 respirators are made available in the marketplace. In
19 addition, we would expect fewer usage problems and
20 greater comfort to the health care worker.

21 Infection control professionals have an
22 equal concern for both patient and employee protection

1 and well-being. A science-based usage requirement
2 will enable support for a more consistent approach to
3 prevention of TB among all populations.

4 We would also like to encourage
5 manufacturers of these devices to not only design safe
6 and effective PRs, but to assure they are
7 nonallergenic and can be worn by persons who wear
8 glasses.

9 For all of these reasons, we support the
10 proposed standard and encourage NIOSH to continue
11 using scientifically valid strategies for the
12 prevention of occupational TB.

13 This new generation of respirators is
14 urgently needed. And, for this reason, we urge NIOSH
15 to place implementation of these new regulations on a
16 fast track so the market can expand quickly and users
17 will have a broader selection of certified respirators
18 for TB control.

19 APIC intends to submit more detailed
20 comments for the written record and has shared this
21 proposed rule with our membership and encouraged them
22 to send written comments to NIOSH in support of the

1 proposed standard as an important first step in
2 improving the certification process for respiratory
3 protection devices and the protection of health care
4 workers from occupational exposure to TB.

5 Thank you for the opportunity to share our
6 views.

7 MODERATOR MATTHEWS: Thank you.

8 I just have the same question I had
9 yesterday with the Infectious Disease Society. Am I
10 correct in understanding that you're comfortable with
11 the October '93 CDC draft of a 95 percent one-micron
12 standard for tuberculosis in the workplace, again with
13 all of the other wrappings that go with it of a
14 respirator protection program?

15 MS. BRYAN: In the context of the
16 hierarchy of controls, yes.

17 MODERATOR MATTHEWS: Okay.

18 MS. BRYAN: Thank you. Any other
19 questions?

20 MODERATOR MATTHEWS: Any others?

21 (No response.)

22 MODERATOR MATTHEWS: Thank you very much.

1 Next we have Greater New York Hospital
2 Association, Elizabeth Sommers Strevey.

3 MS. SOMMERS STREVEY: Good morning. I
4 should probably save everyone time and just say ditto
5 to what she said.

6 MODERATOR MATTHEWS: Okay.

7 MS. SOMMERS STREVEY: But I'll take my
8 moment.

9 MODERATOR MATTHEWS: Sure.

10 MS. SOMMERS STREVEY: And I'll answer your
11 question, too.

12 Good morning. My name is Elizabeth
13 Sommers Strevey. I'm the Senior Vice President for
14 Regulatory and Professional Affairs of the Greater New
15 York Hospital Association.

16 The association represents the interests
17 of more than 167 -- or exactly 167, actually --
18 not-for-profit voluntary and public hospitals and
19 nursing homes in New York City and surrounding
20 suburbs.

21 On any given day, Greater New York
22 Hospital Association's members care for more than 800

1 adults and children with suspected or confirmed
2 tuberculosis in the acute care portion of our
3 membership. And we employ more than 200,000 health
4 care workers, many of whom come in contact with these
5 patients in a variety of ways.

6 Questions of the level of proper
7 protection, the clinical appropriateness of the
8 current requirements, and issues related to health
9 care worker comfort and compliance have been raised
10 with the implementation of the recent HEPA respirator
11 requirements by OSHA.

12 We come, therefore, to this hearing on
13 behalf of our patients and our workers to discuss what
14 would appear to be a new positive direction in terms
15 of patient care, worker safety, and cost effectiveness
16 relative to the quality of the respirator protection
17 to be utilized in our members' facilities.

18 As you likely know, New York State, and
19 particularly New York City, has been at the epicenter
20 of the TB epidemic and, as such, has been grappling
21 with issues related to infection control and TB
22 transmission for some time.

1 Unfortunately, over time there have been
2 instances of nosocomial TB transmission both to our
3 patients as well as to employees in New York and
4 elsewhere.

5 In the incidents that have been
6 documented, enhanced, rigorous adherence to
7 traditional infection control practices, the hierarchy
8 of controls, relative to reducing and mitigating
9 transmission of airborne diseases have proved
10 effective in combatting and eliminating nosocomial
11 transmission.

12 To our knowledge, in none of the outbreaks
13 that have been controlled was the use of the currently
14 required HEPA respirator undertaken as a control
15 measure.

16 In point of fact, we have gone back to the
17 basics, early diagnosis, isolation, treatment, and the
18 hierarchy, basics we have known about for long periods
19 of time, and by employing the basics in a rigorous and
20 consistent manner have broken the backs of outbreaks
21 both in New York and elsewhere.

22 Therefore, the hospitals on behalf of

1 their patients and employees greet NIOSH's new
2 proposed regulatory requirements with very positive
3 and significant interest.

4 As hospitals have attempted to comply with
5 OSHA's requirement for HEPA respirators, they have
6 encountered a series of problems in effecting
7 legitimate and comprehensible communication with
8 patients while wearing the respirator and have also
9 encountered many, many complaints and concerns from
10 employees who find the respirators constraining,
11 difficult to breath through, ill-fitting given the
12 limited numbers of sizes and shapes, and otherwise hot
13 and uncomfortable.

14 Additionally, many employees have been
15 forced to shave facial hair or utilize higher levels
16 of protection with other attendant problems given the
17 current situation and the rules. Complicating this
18 procedure is a backlog in the supply provision.

19 As relates to cost, the expenditures being
20 incurred when mounting a fully functional respiratory
21 protection program that includes the use of either
22 reusable or disposable HEPA filtered particular

1 respirators are extremely high.

2 While Greater New York had originally
3 estimated an incremental cost of \$40 million or more
4 for its members, the cost is more likely to be many,
5 many millions more, perhaps \$65 million. These funds
6 come from hospitals mostly with negative or barely
7 break-even margins.

8 These expenses have diverted and will
9 continue to divert resources from proven techniques
10 for mitigation of disease transmission such as
11 engineering and administrative controls to respirator
12 protection.

13 Hospitals that spend one-half million or
14 one million dollars more for respirators cannot
15 dedicate that money for re-engineering or
16 re-ventilating presumably risky emergency departments
17 and their waiting rooms, for example.

18 NIOSH's new proposal offers manufacturers
19 the opportunity to develop respirators that meet the
20 needs of the health care community in terms of disease
21 prevention, ease of use, comfort, and cost.

22 While we have not yet fully critiqued the

1 proposed rule from a technical standpoint, the
2 initiative itself and its stated intent are sufficient
3 to suggest to us that NIOSH and the federal government
4 are heading in the direction of reinserting science
5 into this equation while continuing to protect health
6 care workers and marshalling scarce resources for the
7 proven techniques of disease transmission control. We
8 are, therefore, extremely supportive of this change in
9 direction and hope that given the resurgence of TB and
10 the real need for change in the health care
11 environment, this process proceeds most expeditiously.

12 We plan to submit more formal technical
13 comments by the July 22nd deadline but wanted to be
14 sure that, as we have historically voiced loud
15 complaints about the current OSHA requirements, we are
16 now heard voicing encouragement in moving forward
17 toward more science, more comfort, more compliance,
18 less cost, and more sanity in this area.

19 We continue to stand ready to work
20 collegially and cooperatively with any and all
21 organizations to ensure that the ultimate result of
22 this process, hopefully expedited, will better ensure

1 worker safety, high quality patient care and
2 cost-effective use of limited resources.

3 MODERATOR MATTHEWS: Any questions?

4 (No response.)

5 MODERATOR MATTHEWS: We hope we are
6 heading in the right direction, too. Thank you.

7 We have two for Society for Healthcare
8 Epidemiology of America: Michael Tapper and/or David
9 Henderson. David? Good.

10 DR. HENDERSON: Mike couldn't be here. He
11 had the choice of being in Switzerland or being here,
12 and I told him that I would be happy to go to
13 Switzerland for him. I ended up getting this
14 assignment instead.

15 Mr. Chairman, ladies and gentlemen, I am
16 David Henderson, and I am the Associate Director of
17 the Warren G. Magnuson Clinical Center, the hospital
18 at the National Institutes of Health in Bethesda,
19 Maryland.

20 I am here today to represent the AIDS and
21 Tuberculosis Committees of the Society for Healthcare
22 Epidemiology of America, SHEA, chaired, these

1 committees are chaired, by Dr. Michael Tapper from
2 Lenox Hill Hospital in New York City.

3 SHEA is an organization composed of
4 several hundred individuals trained at the doctoral
5 level who are responsible for hospital epidemiology
6 and infection control programs in hospitals and
7 clinics across the United States.

8 As is the case for the other speakers here
9 today, I come to speak about the proposed rule that
10 discusses certification requirements for respiratory
11 protection devices, specifically as that rule would
12 apply to devices used in the health care environment.

13 Whereas SHEA shares the concern of the
14 U.S. Public Health Service and other organizations
15 about the marked rise in reported cases of
16 tuberculosis in the United States and we specifically
17 are concerned about the dramatic increase in reported
18 cases of multiply drug-resistant tuberculosis in the
19 cities of the United States, we also have substantial
20 concern about the face of health care in the United
21 States in the 1990s and beyond.

22 Any strategy that we as a country develop

1 for the control of tuberculosis in the United States
2 must be grounded firmly in science and must, I
3 believe, be broadly applicable to all health care
4 institutions throughout the country.

5 Among the strategies that appeal most to
6 us as an organization focusing on hospital
7 epidemiology is the concept of risk assessment.
8 Because of the complexity of the problem presented by
9 the airborne spread of drug-resistant tuberculosis and
10 because of the non-homogeneity of the problem
11 throughout our country, a sensible approach to risk
12 assessment, modeling prevention strategies appropriate
13 to the various levels of risk for each institution
14 appears most sensible to us.

15 Applying a broad-based "one size fits all"
16 set of recommendations to all health care
17 establishments in the country seems needlessly
18 expensive, quite labor-intensive, and virtually
19 impractical. We believe fervently in fitting the
20 appropriate prevention strategy to a carefully
21 evaluated, definitively determined level of risk.

22 We concur with the approach initially

1 presented several years ago by the National Institute
2 of Occupational Safety and Health basing tuberculosis
3 prevention efforts on the implementation of a
4 hierarchy of controls in the health care environment.

5 We concur with the previously published
6 hierarchy; that is, that administrative controls
7 remain the most important, engineering controls next
8 most important, and that the use of personal
9 protective devices represents the third most important
10 strategy to reduce the risk for transmission of
11 tuberculosis in the health care setting.

12 Primary efforts simply must be expended on
13 identifying cases of tuberculosis, managing such cases
14 appropriately, and making certain that therapy for
15 active tuberculosis is completed appropriately and
16 under direct observation.

17 SHEA has been vitally interested in the
18 draft guidelines for the control of tuberculosis in
19 health care settings published in October of 1993 in
20 the "Federal Register" by the Centers for Disease
21 Control. We have felt, that despite the fact that
22 these guidelines emphasize the two crucial concepts

1 of a hierarchy of controls and a risk-assessment-based
2 prevention strategy, these guidelines, nonetheless,
3 overemphasize the importance of respiratory protection
4 devices as primary prevention strategies.

5 Further, we have been concerned that only
6 respiratory protection devices that employ high
7 efficiency particulate air; that is, HEPA filters,
8 would meet the criteria published earlier by NIOSH.

9 We endorse the concept that the Centers
10 for Disease Control has proffered that respiratory
11 protection devices should provide 95 percent filter
12 efficacy of particles 1.0 micron and larger and note
13 that previous testing procedures for other respiratory
14 protection devices; that is, the so-called dust/mist
15 and dust/mist fume devices, were not specifically
16 designed this type of use nor were they designed for
17 use in the health care setting.

18 We enthusiastically endorse the adoption
19 of these new proposed regulations, which we believe
20 would result in the establishment of a new class; that
21 is, Class C, of respiratory protection devices that
22 would meet or exceed the CDC's published performance

1 characteristics.

2 Should this regulation be adopted, we
3 believe that a much broader variety of respiratory
4 protection devices, each of which would offer an
5 appropriate, effective level of respiratory protection
6 for health care workers and would meet these testing
7 requirements, would become available for use in the
8 health care setting.

9 At a time when all of us are focusing on
10 the dramatically increasing costs of health care,
11 implementation of these cost-effective sensible
12 guidelines seems both prudent and highly advisable.

13 We also underscore the need for additional
14 clinically based studies which evaluate the true
15 clinical efficacy of all of the prevention strategies
16 that have been advocated by NIOSH, CDC, and others.
17 The need for clinically relevant science is both
18 compelling and dramatic.

19 We urge the rapid adoption of these
20 regulations and further support the development of a
21 risk-based approach to the management of tuberculosis
22 in the health care environment that is both sentient

1 and cost-effective.

2 Thank you for allowing us to present our
3 views. Our organization would be happy to work with
4 NIOSH and CDC in the development of safe and sensible
5 tuberculosis management strategies for the varied
6 health care settings present throughout the United
7 States. Thank you.

8 MODERATOR MATTHEWS: Thank you very much.

9 Any questions, comments?

10 (No response.)

11 MODERATOR MATTHEWS: Thank you very much.

12 Next is American Mining Congress. And my
13 understanding is that Jack O'Leary has substituted for
14 Bobby J. Jackson.

15 MR. O'LEARY: Good morning. My name is
16 Jack O'Leary. I'm representing the American Mining
17 Congress. AMC very much appreciates this opportunity
18 to comment on the proposed Mine Safety and Health
19 Administration and NIOSH joint regulations regarding
20 the requirements for respiratory protective devices.

21 AMC is a national trade association. It
22 represents mine operators, manufacturers of mining

1 equipment, including respiratory devices. We have
2 historically been involved with MSHA and 30 CFR 11 and
3 are, therefore, quite interested in this rulemaking
4 and the relationship between the agencies.

5 In general AMC supports the approach
6 that's taken by the agencies. AMC feels that this
7 well-described and clear regulatory system that's
8 envisioned will be in the best interest of the miner,
9 the mine operator, and the manufacturer of respiratory
10 protective devices.

11 The transition of authority from MSHA to
12 NIOSH with that latter agency's commitment to the
13 improvement of efficiency could benefit certification
14 programs significantly.

15 AMC is concerned, however, that there be
16 accountability between agencies as the regulatory
17 transition takes place and after the transition is
18 accomplished.

19 The memorandum of understanding referred
20 to in the regulations that describes the
21 responsibilities of each agency after the promulgation
22 of the standards is exceptionally important to AMC.

1 The mining industry faces unique
2 respiratory protection applications, which warrant
3 specific attention; for example, self-contained self
4 rescuers and mine rescue apparatus.

5 Consequently, AMC requests an opportunity
6 to participate in the development of the memorandum of
7 understanding to ensure that this document serves the
8 needs of both agencies and the mining industry.

9 While AMC generally commends the agencies'
10 efforts, we have concerns about specific parts of the
11 proposal that could cause difficulty for the
12 manufacturers and users of the devices.

13 AMC is concerned about the lack of clarity
14 in some of the language in the proposed rulemaking.
15 We are requesting comments from our members at this
16 time to assign priorities and to suggest amendments
17 that can clarify some of the areas that have been
18 mentioned to us by our members as having some
19 ambiguity.

20 Our written comments to be submitted by
21 July 25th of '94 will expand on that comment. These
22 comments will also address, the written comments will

1 address, the technical facets that have been told to
2 us. Today I'm only going to address the broader
3 policy issues.

4 We do support the transfer of the
5 regulatory authority to NIOSH because we feel it will
6 increase the responsibility and accountability for
7 regulations because it will be concentrated in one
8 area, but we also want MSHA to have the strong
9 authority under the memorandum of understanding
10 between the agencies, particularly related to the
11 mine-specific regulatory protection devices.

12 We also support the modular approach that
13 the agencies are taking in the development of these
14 regulations. Rulemaking that attempts to address all
15 of the issues concerned with regulatory devices would
16 be cumbersome and would likely result in errors and
17 burdensome requirements.

18 This approach to treat each aspect of the
19 regulatory protective devices discretely will help
20 ensure that each issue is appropriately considered, it
21 is an efficient process, and will yield beneficial
22 results.

1 AMC supports grandfathering those devices
2 manufactured to current approval criteria.
3 Applications submitted to NIOSH after the rule becomes
4 effective will be accepted for 30 days in accordance
5 with 30 CFR 11 as the proposed regulation is now
6 written.

7 AMC is concerned about the effect this
8 proposal will have on products already in use and
9 currently available from suppliers, whether
10 manufacturers or distributors.

11 AMC opposes rules that would immediately
12 or retroactively decertify machine equipment or
13 devices that were previously approved and were
14 manufactured to both government and private
15 specifications.

16 AMC suggests that the proposed regulation
17 be clarified to ensure that it does not decertify any
18 respiratory protective device that is currently in use
19 or any device that was manufactured prior to the
20 effective date of the promulgation of these
21 regulations if that device is in accord with the
22 approval criteria currently in place at the time of

1 the promulgation of this rule.

2 MODERATOR MATTHEWS: Do you mean the old
3 criteria or the new criteria?

4 MR. O'LEARY: The criteria currently in
5 place when this rule is promulgated.

6 MODERATOR MATTHEWS: The Part 11 criteria?

7 MR. O'LEARY: Yes. We don't want that.
8 We don't want retroactive decertification.

9 MODERATOR MATTHEWS: I apologize for
10 interrupting.

11 MR. O'LEARY: Oh, no. Please. But we
12 would suggest that a specific reference be included
13 here to preclude retroactive decertification.

14 In addition, AMC recommends that a
15 separate module be added for the consideration of
16 regulations addressing the issue of powered
17 air-purifying type respirators. They have unique
18 problems dealing with air flow, filter efficiency, and
19 fit and, therefore, deserve special consideration.

20 In some cases air filtration devices that
21 meet established standards can be
22 engineering-controlled and should be recognized as

1 such in this and in future rulemaking. We will
2 address this issue also in our written comments in
3 some detail.

4 In conclusion, I restate that AMC is very
5 supportive of this approach to this important
6 rulemaking. The concept of permitting the agencies to
7 relieve the industry of the burden of intense
8 regulation while maintaining the high level of safety
9 is one that AMC has advocated for quite some time.

10 We look forward to working with both
11 agencies, both NIOSH and MSHA, to craft regulations
12 that will be of benefit to the miners, to the mine
13 operators, the manufacturers of respiratory protective
14 devices, and will be submitting written comments on
15 many of the issues addressed in the rulemaking.

16 I appreciate the time to comment.

17 MODERATOR MATTHEWS: Rich?

18 MR. METZLER: Yes. Thank you for being
19 here today to represent a mining segment of our
20 industries. You brought up some concerns that we can
21 answer immediately.

22 As I mentioned in my opening remarks,

1 there was no intended change to current practice.
2 Actual practice between NIOSH and MSHA is being
3 documented in this rule. We're actually working
4 together in the joint approval processes, as this
5 particular rule describes. So there is no real change
6 in the actual practices between NIOSH and MSHA.

7 With regard to your concern over the MOU,
8 we do welcome your comments as we will be writing a
9 memorandum of understanding to address how retrofits,
10 recalls will be procedures that we will use in those
11 matters that would be of great interest to you, I'm
12 sure.

13 MR. O'LEARY: I'm sure, too.

14 MR. METZLER: Okay. There was also no
15 impact expected on mine emergency equipment, such as
16 SESRs and FSRs and rescue equipment. The technical
17 standards are being transferred from 11 to Part 84.
18 And, therefore, there was no intention to decertify
19 the equipment based upon the transition from Part 11
20 to Part 84.

21 MR. O'LEARY: Thank you.

22 I look forward to working with you on the

1 memorandum of understanding, too, so that we closely
2 define what devices are under the purview of which
3 agencies.

4 MODERATOR MATTHEWS: Any other comments,
5 questions?

6 (No response.)

7 MODERATOR MATTHEWS: Thank you very much.

8 MR. O'LEARY: Thank you very much for your
9 time.

10 MODERATOR MATTHEWS: Last in this segment
11 is Service Employees International Union, Laura Kenny.
12 No Laura Kenny? Not here. Okay. All right.

13 Well, I will not do to MSA what I did to
14 Moldex yesterday. It's 10:00 o'clock. And rather
15 than have you grope for your slides or your overheads
16 and whatever, it's 10:00 o'clock. Let us take a
17 15-minute break. We will start back promptly at 10:15
18 and consider this time gained. Hopefully we will get
19 out of here.

20 (Whereupon, the foregoing matter went off
21 the record at 10:00 a.m. and went back on
22 the record at 10:19 a.m.)

1 MODERATOR MATTHEWS: Just one housekeeping
2 function. Again, if you have slides or overheads, if
3 you would give a copy also to Dianne after the
4 presentation. If you have only one copy, then give it
5 to the transcriber prior to the presentation. It
6 makes things go smoother.

7 Okay. We are now at William M. Lambert,
8 Mine Safety Appliances Company.

9 MR. LAMBERT: Good morning, everyone. My
10 name is Bill Lambert. I'm MSA's Product Line Manager
11 for Air-Purifying Respirators. I'm here to provide
12 oral comments to the notice of proposed rulemaking, 42
13 CFR 84.

14 I'm joined today by Tom Hoetop, our Senior
15 Vice President for the Safety Products Division; Wade
16 Miller, our Director of Product Planning and
17 Engineering; and John Koon, our Product Engineer for
18 Air-Purifying Respirators Development.

19 Rich, yesterday you indicated that the
20 current filter test is coming up on its 60th birthday
21 and that it's about time that maybe before that
22 birthday celebration we change that regulation.

1 I'm very proud to say that MSA has been in
2 the respirator business for all 60 of those years.
3 And, in fact, this year we have celebrated our 80th
4 anniversary as a safety equipment supplier to the
5 industry, making us truly the grandfather of
6 respirator manufacturers.

7 Our company's founders, John Ryan and
8 George Dike, were two Bureau of Mines rescue
9 engineers. They had a vision when they began our
10 company, and that vision was that men may work in
11 safety.

12 Certainly much has changed over the past
13 80 years, but one fact has never changed. And that
14 fact is MSA's commitment and dedication to protecting
15 the health and safety of working men and women
16 everywhere.

17 Over 4,000 employees strong today and
18 operating in 22 countries worldwide, MSA is the
19 world's largest company solely dedicated to producing
20 a complete range of safety equipment and systems for
21 protecting people's health and the environment. And
22 we're very proud of that fact.

1 MSA welcomes the opportunity to comment at
2 this informal meeting and respectfully submits the
3 following presentation that I'm about to give.

4 First let me say that we applaud NIOSH's
5 efforts and initiative to date in bringing forth this
6 module sincerely. As the largest safety equipment
7 manufacturer, we do applaud your efforts.

8 It has been one heck of an incredible
9 balancing act. We understand that. We were there
10 back in the late '70s, when you were asking for
11 comments on how 30 CFR Part 11 should be changed. We
12 were there in the '80s commenting with you and trying
13 to make this standard evolve to what it has.

14 We understand the concerns and the issues
15 and the troubles that you have gone through in trying
16 to meet both the industry needs and the user needs and
17 what's best for public health, more than 7 years in
18 the making and maybe even more than that, more like
19 15 years if you go back to the late '70s, when you
20 first came forward and had the open meetings and said
21 "How do we need to change or evolve 30 CFR Part 11?"
22 and even in '87, when you issued the proposed rule,

1 taking those more than 270 comments, some of them
2 very, very strong arguments, others maybe not so
3 strong.

4 There were some pretty hard issues that
5 came out of that. And we can appreciate that. We
6 congratulate your efforts. We really do, and the
7 initiative that you guys are taking.

8 And, really, the genius behind 42 CFR 84
9 coming about is this modular approach. We support
10 that modular approach.

11 I have a four-year-old daughter. My
12 daughter and I were in the front yard watching the
13 caterpillars and the tent worms devour my tree. She
14 asked me, she said, "Dad, how does that caterpillar
15 get to the top of the tree?" I said, "Well, I know
16 that's kind of tough for you and me to get there, but
17 inch by inch that caterpillar is going to make it."

18 Inch by inch, and even though it's slow,
19 this modular approach is the way to go. And MSA
20 supports that modular approach that you guys are now
21 taking on.

22 It provides for improvements on a priority

1 basis so that you can address the respirator needs
2 that are most urgent right now, and we agree with
3 that.

4 It assures improvements to worker safety
5 are implemented first. And it really facilitates
6 adaptation by not just the manufacturers, but by all
7 stakeholders, including the users. It is a proactive
8 approach that we truly support.

9 What NIOSH wants from Module 1. As stated
10 in the "Federal Register," there are a number of very
11 specific goals that NIOSH has indicated that they
12 would like to see come about from Module 1 of 42 CFR
13 Part 84: first, -- and these are verbatim out of the
14 "Federal Register" -- to produce significant
15 improvements in the level of protection provided to
16 wearers of respirators; secondly, to enable users to
17 easily discern the level of protection that can be
18 expected when using a respirator; three, enable
19 classification of the filters on their ability to
20 inhibit penetration of particulates of the most
21 penetrating size. MSA agrees with and supports these
22 very worthy goals and objectives.

1 There was a fourth objective also stated
2 and outlined in 42 CFR 84. That additional benefit
3 was specifically to address health care worker needs,
4 and it said to "address an important public health
5 need regarding the control of TB transmission with six
6 classes of respirators expected to be markedly less
7 expensive than respirators with HEPA filters."

8 Certainly we've heard from the health care
9 community these past two days, and that is a high
10 priority issue with them trying to balance, on one
11 hand, effective health care costs and, on the other
12 hand, effective respiratory protection for the workers
13 in that health care environment. This is a tough one.

14 Taking this objective and the three
15 objectives outlined on the previous slide and having
16 those two live happily ever after is tough. And
17 that's something that I think we need to reckon with
18 because I think it's tough and we think it's tough
19 because in some respects, this goal and objective is
20 in conflict with those other three goals and
21 objectives. So what I hope we get to today is a
22 discussion on why we think that's tough and what needs

1 to be done.

2 Trying to outline to you our concerns and
3 comments with 42 CFR as written: one, that
4 significant improvements in worker protection won't be
5 achieved; two, that users won't easily discern the
6 level of protection; and, three, that filters aren't
7 classified on their ability to inhibit particulates of
8 the most penetrating size.

9 We truly believe that the first three
10 objectives, primary objectives, that you outlined for
11 42 CFR, Module 1 to accomplish won't be realized as
12 written.

13 Why do we believe that? We believe for
14 three principal reasons. Number one, it's a tiered
15 system, a better-best system that can lead to user
16 misuse and misapplication. The idea of solid-only
17 particulate respirator certifications and liquid and
18 solid particulate classifications and certifications
19 can lead to misuse.

20 Secondly, the test method can overstate
21 filter efficiency.

22 And, third, as written, 42 CFR 84 permits

1 certification of filters that show continued loss of
2 filtering efficiency with exposures to the challenge
3 aerosols.

4 Providing you with the conclusions right
5 up front and telling you what I'm going to be talking
6 about, these are the three conclusions that I'd like
7 to come to in my presentation: that, just as in 1987,
8 only one certification class be established for
9 respirators; -- that would be liquid and solid -- two,
10 that thermally generated DOP be used as the challenge
11 aerosol; and, three, that, just as in 1987, the
12 testing continue until filter penetration and filter
13 efficiency have stabilized.

14 First let me address the issue of the
15 tiered approach that we see in the new standard. It
16 is definitely a tiered system, where you have
17 solid-only certification class of respirators, and you
18 have a liquid and solid certification class.

19 You have the same efficiency ratings, the
20 99.97, the 99, and the 95 for both the solid and for
21 the higher levels of protection, the higher
22 performance, we should say and probably all agree to,

1 with the liquid and solid certifications.

2 How does that lead to misuse? It's been
3 stated. Tom Nelson stated it. It's been talked about
4 within the industry. Solid-only respirators are going
5 to be a lot less expensive. That probably was the
6 reason why or the argument made back in '87 why that
7 was changed now between the rule that we saw in '87
8 and what we're seeing today in 1994. Solid-only
9 respirators are probably going to be a lot less
10 expensive.

11 If that's the case, if the user is faced
12 with the fact that he has this 95 percent efficient
13 filter, on one hand, and a 95 percent efficient filter
14 on the other hand, this one being solid, this one
15 being liquid and solid, he's going to look. He's
16 going to take a look at those two respirators, and
17 he's going to say, "You know, they're both 95 percent
18 efficient."

19 Efficiency will become the decision-maker.
20 That will become the purchasing driver. So now you
21 will have users who will opt for the lower-cost
22 filter, for the filter that's at the 95 percent

1 efficient level, which is the same over here, but it's
2 a lot less expensive. Misuse, misapplication is going
3 to result.

4 We all heard Bruce Mahan yesterday
5 indicate that in the real world, speaking for the
6 Chemical Workers Union, in the real world, these guys
7 don't know what aerosols are. He indicated, I think
8 his words were, "They're not sure what the word
9 'aerosol' means."

10 They're not measuring the particulates.
11 They take a look, and they classify according to the
12 hazard. And then they apply the respirator according
13 to what they think is there.

14 Faced with that situation, if this is 95
15 percent efficient and this is 95 percent efficient,
16 workers are going to opt for the low-cost alternative.
17 That's a concern. That ought to be a concern for all
18 of us.

19 Two goals that were stated for 42 CFR 84,
20 **Module 1: produce significant improvements in**
21 **protection provided to wearers of respirators, and**
22 **enable users to easily discern the level of**

1 protection.

2 Those two will be very, very difficult to
3 accomplish if the user is faced with a solid-only and
4 liquid-solid and, yet, there are the same efficiency
5 classifications within each of those certification
6 groups.

7 The solution that MSA is proposing and we
8 would like you to very seriously consider is what you
9 proposed and put forth back in 1987, and that is that
10 only one certification class be permitted, that class
11 being liquid and solid, for those special instances;
12 for instance, in the asbestos environment, where we
13 all know the asbestos environment is a solid
14 particulate as classified and probably will have a
15 solid particulate respirator or be classified as a
16 solid particulate.

17 But the actual use condition where that
18 respirator is being used in the workplace, we know
19 there is water everywhere, water spraying everywhere,
20 to try and control, try and bring down those ambient
21 concentrations of fibers.

22 What will that user opt for if faced with

1 that knowing that asbestos is a solid fiber, a solid
2 particulate; yet, the actual use condition in most
3 cases has water spraying everywhere? Clearly a liquid
4 and solid-type approval would probably be the better
5 respirator to use there. We need to address that. We
6 need to give that serious consideration.

7 Our second point was related to the
8 testing method that's been specified. Bill Newcomb
9 from North talking for the ISEA yesterday touched on
10 that a little bit, and I'm going to touch on it a
11 little bit more.

12 Our point is that the test method as
13 specified in Module 1 can overstate the filter
14 efficiency. Let's go back to the goal. The goal is
15 to enable classification of filters on their ability
16 to inhibit penetration of particulates of the most
17 penetrating size.

18 We agree. DOP is the most penetrating
19 liquid aerosol. And we agree, Don, a worst case
20 aerosol should be used for certification testing. To
21 not only protect those workers who are out there
22 working for Union Carbide who have the benefit of a

1 Safety Department, an Industrial Hygiene Department,
2 who can go out and measure the ambient concentration
3 levels, but for that small business sector of the
4 economy that doesn't have that benefit that's relying
5 on the NIOSH certification label and that TC number to
6 guide them in the right direction, we agree that a
7 worst case aerosol method should be used.

8 But the question that Bill raised
9 yesterday and we'll raise again today is: How
10 generated, cold nebulized or thermally generated? And
11 the problem again gets back to it gives different
12 results depending on the type of filter construction
13 that you have.

14 I just want to briefly review these and
15 run through these very quickly. I don't need to spend
16 a lot of time on these graphs because Bill showed them
17 to you yesterday.

18 For those filters that industry conducted
19 some round robin testing on, those filters
20 approximating Type A filters of the electrostatic
21 class showed this type of performance, where the
22 thermally generated DOP showed significantly higher

1 penetration than the cold generated, cold nebulized
2 DOP.

3 Ernie, to address some of your concerns
4 from yesterday, the round robin testing was done with
5 very special attention paid to the test protocol. And
6 we'll be happy to share that with you, with the panel,
7 make them a part of our public comments. But a very
8 specific test protocol was established to eliminate a
9 lot of the things that you indicated yesterday in your
10 discussions.

11 For those filters approximating Type B
12 performance, again thermally generated DOP showed
13 higher percent penetrations; i.e., lower filter
14 efficiencies than the cold DOP.

15 And for Type C we saw the same thing.
16 Thermally generated DOP appeared to be a more
17 penetrating aerosol than cold nebulized DOP for all
18 three of these classes or approximating these classes
19 of electrostatic filter medium.

20 That's an important distinction that needs
21 to be made because under those same test setups, under
22 that same test protocol, the only difference now being

1 that you're not using electrostatic media, now you're
2 using mechanical filter media, you see very, very
3 close correlation between the results independent of
4 whether you're using cold nebulized DOP or thermally
5 generated DOP.

6 For those filters approximating Type A
7 performance, you see that they gave almost identical
8 results. We're over into the third place decimal.

9 For Type B or those filters approximating
10 Type B, again very, very close results, very close
11 correlation between cold and hot DOP; and for Type C,
12 very close.

13 I think this very closely correlates this
14 study to the studies that you have done, that Ernie
15 has done in your own lab, that show that for
16 mechanical filter media, cold nebulized DOP, thermally
17 generated DOP provide the same result. Where you
18 don't get the same result is on that broad class of
19 filters known as electrostatic filters. And that's a
20 concern.

21 Graphically showing these two is a telling
22 story. High efficiency -- this is testing not

1 conducted by the ISEA. This is testing conducted by
2 MSA and an outside lab.

3 Testing was done using thermally generated
4 DOP on over 30 samples of high efficiency filters,
5 mechanical, a group of mechanical, filtered elements
6 and a group of electrostatic filtered elements. As
7 you can see, the filter efficiency starts to drop off
8 with the electrostatics. And it drops off rather
9 rapidly.

10 Our conclusions from this are what
11 follows. Number one, this is not across all filter
12 media. As you guys well know, this is a phenomenon to
13 electrostatic filter media.

14 Two, the cold DOP consistently
15 overestimated filter efficiencies in that broad class
16 of filter media known as electrostatics; that with
17 each type of electrostatic filter, thermally generated
18 DOP was more penetrating than cold nebulized DOP.
19 And, lastly, with each type of electrostatic filter,
20 performance was continuing to decline when the test
21 was stopped.

22 That's all fine in the lab, talking about

1 it in what seem to be esoteric terms of whether you're
2 going to generate this cold or hot. Let's try to
3 relate it to the worker, to the user community, to the
4 stakeholder to what we're trying to do today and
5 formulate.

6 Our goal, 42 CFR 84's goal, was to enable
7 users to easily discern the level of protection that
8 can be expected when using a respirator. The question
9 is: Where is the indicator to the user that the
10 electrostatic filter is losing its efficiency? The
11 user can't detect, taste, or smell the breakthrough in
12 loss of filter efficiency.

13 What about the user? How does the user
14 enter into this if we're really trying to enable the
15 user to easily discern the level of protection that he
16 can expect when using the respirator? 42 CFR 84 we
17 believe must address this concern. It's a real
18 concern.

19 Reading to you from a paper issued by
20 Ernie Moyer authored by Ernie Moyer that was
21 distributed at the American industrial hygiene
22 conference and exhibition just a few months ago,

1 "Electrostatic media have good initial filter
2 efficiencies, but the filter degrades with increased
3 particulate loading. This loading causes a masking or
4 loss of electrostatic charge (filtered degradation)
5 resulting in reduced filter efficiency and increased
6 worker exposure.

7 "This is possible since there are no
8 end-of-service life indicators for such respirators.
9 Note that the longer the wearer continues to use this
10 respirator under these conditions, the higher the
11 exposure level."

12 And reading from OSHA's Instruction Manual
13 CPL 2-2.54 from the Office of Science and Technology
14 Assessment, "Only mechanical type high-efficiency
15 particulate air filters enclosed in cartridges or
16 canisters are acceptable for protection against any
17 particulate exposures because efficiency of these
18 filters does not change with dust-loading and ambient
19 conditions."

20 It would appear that everybody knows about
21 this performance but the user. How can a new
22 certification module go through unless it addresses

1 this in some fashion?

2 Some will say that it's just DOP or it is
3 just that oil aerosol that you're using. We would
4 argue it's not just DOP. In a 1986 paper by
5 Blackford, Bostock, Brown, Loxley and Wake entitled
6 "Alterations in the Performance of Electrostatic
7 Filters Caused by Exposure to Aerosols," they showed
8 that silkstone, coal dust, foundry fettling fume,
9 foundry burning fume, carbon brick dust, lead smelting
10 fume, lead battery dust, ammonium chloride, real world
11 stuff, cause a breakdown in electrostatic filter
12 medias.

13 What they showed were graphs that looked
14 similar to ours, at least characteristically, for
15 those different challenge aerosols, that under the
16 conditions, the test conditions, in the paper, they
17 showed that for all of those challenge aerosols, that
18 the percent penetration increased with exposure to
19 that aerosol.

20 Quoting from the "Journal of ISRP,"
21 July-September 1986, again in an article written by
22 NIOSH, both by Ernie Moyer and a couple of scientists

1 from NIOSH Cincinnati, "NIOSH is concerned that
2 certain respirator particulate filters degrade under
3 typical use and storage conditions. NIOSH studies
4 have shown significant degradation of electrostatic
5 filter media in coke ovens, Smith 1979, and pesticide
6 environments, Kennedy 1983."

7 This issue has to be addressed, we
8 believe, by 42 CFR, the Module 1, 42 CFR Part 84. We
9 have all known about it for a while. We're becoming
10 more aware of it, as manufacturers know, but certainly
11 the scientific community has known about it. NIOSH,
12 we believe, must address this.

13 And you know what? You did. You did in
14 1987. In the 1987 released 42 CFR 84, it stated that
15 "if filter penetration is increasing when the
16 100-milligram challenge point is reached, the test
17 shall be continued until there is no further increase
18 in penetration." Therefore, filter efficiency is
19 certified only after performance has leveled off.

20 You did address it. And you need to
21 address it again, we believe.

22 What we all want from Module 1, 42 CFR 84,

1 is to produce significant improvements in the level of
2 protection provided to wearers of respirators, to
3 enable users to easily discern the level of protection
4 that can be expected when using the respirator, and to
5 enable classification of the filters on their ability
6 to inhibit penetration of particulates of the most
7 penetrating size.

8 To accomplish those goals, those very
9 worthy goals, we recommend the following, that 42 CFR
10 84 should require: one, that, just as in 1987, only
11 one certification class be established, that being
12 liquid and solid certifications, in order to eliminate
13 or reduce the potential for misuse and misapplication.

14 Now, a lot of people will say that's
15 OSHA's problem, that's OSHA's problem in the use and
16 application of those respirators. But the mere fact
17 that that certification class exists is an OSHA's
18 problem is something that we can nip in the bud right
19 now with this module release by eliminating that
20 solid-only class and having everything meet the
21 highest level of protection that the government feels
22 it needs to meet.

1 Our second recommendation is that
2 thermally generated DOP be used as the challenge
3 aerosol. We see with mechanical filter media that
4 thermally generated or cold nebulized DOP give the
5 same result on all classes of filters or very, very
6 close to the same result on all classes of filters.

7 Thermally generated and cold nebulized DOP
8 do not give the same result on those classes of
9 filters for electrostatic media. There's something
10 else happening there. And if what we're looking for
11 is the most penetrating particle, then thermally
12 generated DOP appears to be more penetrating than cold
13 nebulized DOP, whatever the other influences are that
14 are going on.

15 And, lastly, just as in 1987, exposure
16 continue until filter penetration and filter
17 efficiency have stabilized. That's to protect the
18 worker. That's what we need that allows the user to
19 easily discern the level of protection that can be
20 expected when using a respirator.

21 Wow. We do all of that. What about the
22 health care industry? What about the fourth objective

1 of less expensive respirators for protection from TB?
2 That's suddenly where the conflict arises.

3 Unfortunately, the cost impact analysis
4 that Bernard Mishkin from Moldex and the ISEA produced
5 yesterday indicated that even if 42 CFR 84 were to go
6 through as is today, there may not be any cost savings
7 at all. In fact, it would be in both cases over a
8 \$100 million impact to the user, annual impact.
9 That's significant.

10 Number two, and a very important question.
11 I think it's echoed this morning from the health care
12 industry. Do we really know enough about TB? Do we
13 know enough about TB that we can take this
14 certification module that's been in the works for 20
15 years, 15 to 20 years, and now loosen those
16 constraints so that we can meet the need of the health
17 care industry, which, by their own admission, seems to
18 have humped? And the hierarchy of control seems to be
19 taking over, and that crisis seems to have passed
20 somewhat.

21 Do we really know enough about TB and TB
22 concern to now take these regulations and bring them

1 down to that level to ensure that we have inexpensive
2 respirators for that immediate concern?

3 Basic fundamental questions, like: Has a
4 safe exposure limit been established for tuberculosis?
5 Does it make more sense for an emergency
6 substance-specific standard from OSHA to help work out
7 way out of the TB issue without taking the respirator
8 certifications down to a point that ensures that we
9 have inexpensive respirators to meet a crisis, which,
10 apparently, by their admission this morning, has
11 passed?

12 I'm not sure. I'm not a TB expert. We
13 certainly aren't. But we certainly know some of the
14 things that we have read would lead you to some pretty
15 tough questions for answering the question, Gene, as
16 you indicated, to a number of the health care workers,
17 where you said "Do you feel comfortable with a 95
18 percent efficiency against the one-micron particle
19 size?"

20 And unanimously all six of those speakers,
21 the people you have asked, certainly, out of that
22 group of six have said, "Yes. We feel comfortable.

1 We don't want HEPA. We feel comfortable with that"
2 because they've been told, I'm sure, that those are
3 going to be less expensive respirators.

4 In the CDC's October guidelines, it
5 indicated "Neither the smallest infectious dose of M.
6 tuberculosis nor the highest level of exposure to M.
7 tuberculosis at which transmission will not occur have
8 been conclusively defined.

9 "The size, the size distribution, the
10 number of particles containing viable M. tuberculosis
11 that are generated by infectious TB patients have not
12 been adequately studied."

13 And, yet, we're saying this morning and we
14 heard testimony this morning that indicated that they
15 are pleased to see that we're taking a scientific
16 approach in applying respirators to that need, to that
17 health care need. Wow. I don't see the connection
18 between the scientific aspect they're referring to and
19 what the CDC published last October.

20 Again quoting from that CDC document,
21 "Respirators are typically used in situations where:
22 one, there is an established exposure limit; and, two,

1 the ambient concentration of a hazardous agent in the
2 workplace is known."

3 It goes on to say "Neither the exposure
4 limit or the ambient concentrations or a quantitative
5 method for determining the concentration of M.
6 tuberculosis nor a workplace standard has been
7 established for M. tuberculosis."

8 Our concern to you is: How do we take -2
9 CFR 84, Module 1? We take those objectives that have
10 been outlined as very worthy objectives for all of
11 industry. Are we watering them down too far to meet
12 this need to the health care industry when we simply
13 don't seem to know very much about how to measure
14 those ambient concentrations, what the safe exposure
15 limit is?

16 Thank you very much.

17 MODERATOR MATTHEWS: Thank you.

18 Let me make sure I understand just for
19 those not steeped in respirator-ese in the audience.
20 When you talk about one class, you're talking about
21 one class of test challenge: solid versus solid and
22 liquid. But you're still presuming there would be

1 like three levels of filter efficiency, 99 percent, 95
2 percent, 99.5 percent?

3 MR. LAMBERT: That's right.

4 MODERATOR MATTHEWS: Okay.

5 MR. LAMBERT: And under the proposed rule,
6 you would have two certification classes, I'll call
7 them. You have a solid-only certification class,
8 which includes those three efficiency ratings, and you
9 have a liquid and solid certification class, which
10 would include those three efficiency ratings as well.

11 MODERATOR MATTHEWS: Could I just draw you
12 out one final point? What is your recommendation for
13 what the agency should do with respect to the TB
14 situation? There's obviously concern in the community
15 about doing something different from HEPA.

16 And you raise your points. And your last
17 slide is sort of: Well, what about TB? So I'd like
18 to throw the question back to you? What about TB?

19 I mean, we can weigh and further try to
20 get additional data on TB transmission exposure rates,
21 et cetera, but in the meanwhile is it your
22 recommendation we continue with the current situation?

1 MR. LAMBERT: Well, Gene, I think that the
2 answer to that question as a manufacturer, as the
3 largest manufacturer of respirators, we have been
4 preaching to people the hierarchy of controls in the
5 workplace.

6 MODERATOR MATTHEWS: Sure.

7 MR. LAMBERT: We have been preaching to
8 people that to apply the respirator properly, to go
9 through a decision logic, and if you apply that
10 decision logic to what we know about TB, I'm not sure
11 if you would come to the conclusion that you could use
12 a 95 percent efficient filter against a one-micron
13 particle size.

14 That is the bridge, chasm, that is hard to
15 cross for us. It would appear that if somebody asked
16 you knowing very little about the hazard or knowing as
17 little as it seems that we do about this hazard and
18 having no way to measure that ambient concentration,
19 -- I suppose there's no way to measure that ambient
20 concentration -- that it's a hard jump for me to say
21 using the lowest class particulate respirator for that
22 hazard. It would make more sense to us that the

1 recommendations for high efficiency make more sense in
2 that situation.

3 MODERATOR MATTHEWS: And what is your
4 response, then, to the argument that we're faced with
5 -- certainly, Bob gets this on a daily basis -- of
6 even applying the old 1990 TB standards of a
7 "particulate" respirator, which might even be included
8 by some to include a surgical mask or a DM?

9 There has been no showing of TB
10 transmission where the 1990 standards have been
11 applied. Therefore, why are you driving us towards
12 what your answer, your response to me just was,
13 continue with HEPA? What is your response, then, to
14 those arguments?

15 You see, you're saying that there is not
16 enough data from your point of view to make that risk
17 management decision of 95 percent one-micron TB
18 standard.

19 And what we're also hearing, the
20 countervailing argument, there is a limited data to
21 show, there may be no data to show that at a more
22 relaxed standard, you would not have TB transmission.

1 Do you follow what I'm saying?

2 MR. LAMBERT: I follow exactly what you're
3 saying. I don't have an answer for that, Gene. I
4 mean, that's obviously the situation that we're in.

5 That's why when CDC issued those first
6 recommendations that called for PAPRs or pressure
7 demand airline respirators, that everybody said,
8 "Whoa. Wait a minute. Time out."

9 And that's why we issued the 95 percent
10 and one micron, which I guess those are based on
11 epidemiological studies. I don't know where those
12 come from.

13 It's a tough question. I have no answer
14 for you.

15 MODERATOR MATTHEWS: Okay. Well, I
16 appreciate your comment, and I'm sure we've got
17 technical questions down the line.

18 Rich, do you want to lead off?

19 MR. METZLER: Yes. I have a couple of
20 general questions, comments, or observations. One
21 observation is that MSA agrees with testing filters in
22 a certification program with most penetrating particle

1 size range.

2 MR. LAMBERT: Yes.

3 MR. METZLER: MSA agrees with worker
4 concerns over the lack of or inadequacy of monitoring
5 and knowledge of workers to know particle size
6 distribution in the workplace, a position that was
7 represented by ICWU representatives yesterday.

8 MR. LAMBERT: Right.

9 MR. METZLER: Does MSA agree that workers
10 need the better protection offered in the standards
11 represented in Module 1 or better with the use of just
12 the liquid challenge?

13 MR. LAMBERT: Yes, yes. Obviously my
14 presentation hit on achieving those three goals and
15 what was needed to meet those three goals.

16 MR. METZLER: Is MSA aware that a filter
17 technology exists to provide that better protection
18 abroad, in foreign countries, providing that better
19 protection to workers there?

20 MR. LAMBERT: I don't understand your
21 question, Rich.

22 MR. METZLER: The question is: Is the

1 filter technology available to produce filters that
2 meet Class A, B, or C efficiency levels? And are they
3 available already in foreign countries?

4 MR. LAMBERT: I don't think that I have
5 that knowledge. I don't have that knowledge to answer
6 that question.

7 MSA, as I indicated, operates in 22
8 countries. We have respirators that certainly meet
9 the CEN that are CEN-certified respirators. And I
10 think that trying to bridge what's proposed in 42 CFR
11 84 to what is required by N143, that bridge can't be
12 made.

13 MR. METZLER: MSA did not make remarks
14 today about the implementation schedule which has some
15 implications for the technology being available. The
16 absence of any comments on the grandfathering periods
17 mentioned, does that mean MSA has no problem with
18 those schedules, grandfathering periods that were
19 proposed?

20 MR. LAMBERT: No, the absence of our
21 comments does not mean that we support that. On many
22 issues that were represented by the ISEA yesterday,

1 MSA endorses, supports, and agrees with those
2 positions and, in particular, the one on the
3 grandfathering provision.

4 MR. METZLER: All right. Does MSA know of
5 any other competitors who are able to produce
6 economically filters that meet the classes that are
7 being proposed, either as they are proposed or with
8 just the liquid challenge?

9 MR. LAMBERT: I think that's impossible to
10 answer, Rich. I mean, there's certainly no published
11 pricing information from any manufacturer who is
12 saying that they have respirators that meet A, B, and
13 C to the new requirements.

14 There is one manufacturer that we are
15 aware of that has literature indicating that they meet
16 the one micron, 95 percent efficient filter. It's
17 currently approved under 30 CFR Part 11 as a dust/mist
18 respirator, but they indicate in that literature that
19 that respirator, in fact, would meet that new
20 requirement, that one micron, 95 percent efficient,
21 which I'm assuming, then, to say -- and I'm making a
22 big bridge here. I'm assuming that that would be a

1 class E, perhaps a solid-only approved respirator.
2 And that is a very inexpensive respirator.

3 MR. METZLER: The users' guide that was
4 mentioned in the proposal, is MSA's position that
5 users' guides, information published for workers and
6 IHS, et cetera, is inadequate in providing selection
7 type of information?

8 MR. LAMBERT: MSA believes that the users'
9 guide is absolutely necessary and urgent to be
10 developed and to be available when 42 CFR 84 rolls
11 off. We believe that is vitally important to have a
12 users' guide that lets people translate what they're
13 currently using in the way of dust/mist and
14 dust/fume/mist and high efficiency respirators to the
15 new classifications.

16 MR. METZLER: The last question is on
17 innovation. Part of what we're trying to achieve with
18 these standards is to promote greater competition and
19 also to permit greater innovations in filter
20 technology, which we think will lead to better
21 protection overall for workers.

22 Using the liquid/solid as a single class

1 for each A, B, and C level, could you give us any of
2 your comments on the kind of filter innovation and
3 technology you see coming on the horizon to provide
4 better protection for workers if, in fact, we do end
5 up going towards the single particle challenge, liquid
6 and solid only?

7 MR. LAMBERT: No, I don't think I will
8 disclose innovations that MSA is working on currently
9 to meet these requirements, whatever they might be,
10 but certainly we are very actively pursuing that.

11 With every regulation or certification or
12 consensus standard that has ever been adopted and has
13 ever been promulgated, it has required manufacturers
14 to rethink what they're doing and to innovate and to
15 find solutions to those needs. And under the free
16 market system, the guy that does it fastest and
17 cheapest gets the biggest piece of the pie.

18 And we support exactly what you're doing.

19 MR. METZLER: One other question I guess
20 I would have, it seems somewhat unfair just to ask
21 MSA, but I'd like ISEA, other member companies to
22 consider: How is it that since '87 these standards

1 were proposed and, yet, the industry is found somewhat
2 with the economic impacts that it's indicated today
3 without making some sort of innovation or steps
4 towards these standards that have taken several years
5 to come to this point?

6 MR. LAMBERT: I don't follow that, Rich.
7 Could you restate that?

8 MR. METZLER: Much of the concern over the
9 economics that has been represented here is in the
10 cost for transitioning to respirators which will have
11 improved performance above dust/mist, dust/fume/mist,
12 but less than HEPAs, which are already available.

13 So is there an explanation for how the
14 economics are so significant from that point of view
15 when, in fact, we've known since '87 that these
16 requirements have been evolving and coming?

17 MR. LAMBERT: Yes. I think that to answer
18 that question properly -- and MSA I don't believe is
19 representative of a large group of those respirators
20 making the types of masks that Bernard Mishkin talked
21 to you about yesterday, where Bernard sees a very
22 strong impact, cost impact. So MSA does not see that

1 same cost impact with the proposals that we are
2 putting forth here.

3 MODERATOR MATTHEWS: Can I just sharpen
4 one point three questions back? If I understood you
5 correctly, you're saying that the filter efficiency
6 will become the driver and, therefore, will result in
7 misuse because of the solid-only being less expensive.

8 And Rich's question to you on that point
9 was that we're going to do things more than simply
10 publish regulatory texts in the Code of Federal
11 Regulations here. We're going to be engaging in a
12 number of different educational processes, including
13 a users' guide and a number of ways of actually
14 communicating to the public what this is all about.

15 Do I take it that your position is that's
16 not going to be good enough?

17 MR. LAMBERT: I don't think it will be.
18 I think the example that Rich brought up yesterday
19 where yes, you've got a Union Carbide that has the
20 industrial hygiene worker or staff there to regulate,
21 control what respirators are being used, that will
22 work. That message will get out.

1 But to that small business sector, that
2 message won't get out. And there you'll see the
3 misapplication and misuse of that respirator to those
4 workers. As Don indicated yesterday and Rich
5 emphasized yesterday, those workers we have to protect
6 as well.

7 MODERATOR MATTHEWS: Okay. Don?

8 DR. CAMPBELL: Could you comment on the
9 percentage of respirator wearers that would be exposed
10 to aerosols in a workplace that are degrading? You
11 gave some examples, but one of the things that is of
12 interest to us is how many workers who wear
13 respirators actually need that additional level of
14 protection associated with aerosols that degrade the
15 filters.

16 MR. LAMBERT: I think you've asked two
17 questions there, Don. You said: What percent of the
18 workforce is using respirators that degrade with
19 exposure? That gets into the mix of market share.

20 DR. CAMPBELL: Let me rephrase that. I'm
21 interested in the percentage of workers who use
22 respirators in situations where an aerosol may degrade

1 the filter.

2 MR. LAMBERT: Certainly that number
3 precisely is not known. My example in the paper that
4 was cited indicated that it's not just DOP or a
5 situation where you have an oil mist, but that those
6 compounds and those aerosols that were measured there
7 that were used in that paper are common aerosols.

8 I don't know how many industries have
9 those specific aerosols and/or aerosols like that, but
10 certainly those were very representative of what you
11 would find in "the real world."

12 DR. CAMPBELL: The reason I'm pursuing
13 that is that in response to our '87 proposal, there
14 was a very strong and, in fact, convincing argument
15 that there were many workers using respirators, in
16 fact, a great majority of respirator wearers were
17 using them, in situations where the degradation of the
18 aerosol was not a factor and that to require all
19 filters to have that would be a burden in terms of
20 possibly the cost of the respirator or other features
21 of the respirator that were really unnecessary.

22 So we have sort of a balancing to do here.

1 And I'm asking for any input or data that you can
2 provide that addresses that question. And it really
3 gets to how prevalent that problem is in the
4 workplace.

5 In '87 there was a convincing argument
6 presented to NIOSH that it was not that prevalent.

7 MR. LAMBERT: I'm not aware of any studies
8 that would give you that answer, Don, that would say
9 "This percentage of the workforce doesn't have that
10 potential to happen" and "This percent doers have that
11 potential to happen." I'm unaware of any studies that
12 have been done along that line.

13 I'm not sure what studies, if any, were
14 cited by whoever made those public comments to you
15 back in '87, but the idea of attempting to have a
16 standard that significantly improves worker
17 protection, that enables the user to easily discern
18 the level of protection he can expect from that
19 respirator, boy, it would seem to me that if we have
20 degrading filters or degrading performance in that
21 filter, that that respirator for the most part is
22 completely unaware of that today.

1 He doesn't know. He doesn't know that
2 that's happening and doesn't know that it's happening
3 with not just DOP, which is in the lab, but it seems
4 to be happening with many common aerosols found in
5 industry.

6 MODERATOR MATTHEWS: Not to beat that
7 horse to death, we went forward in '87, if I
8 understand this correctly, with the proposal that the
9 challenge would liquid and solid only. And then we
10 got --

11 DR. CAMPBELL: With the liquid only.

12 MODERATOR MATTHEWS: Okay. Liquid, liquid
13 only. Then we got a lot of comments saying "You need
14 a solid only as well. Bifurcate the class, the
15 challenges."

16 And now you're saying today "Oh, no. You
17 had it right in '87 originally as a proposal of a
18 liquid challenge." So what we're trying to draw out
19 from you, to your knowledge, or could you submit for
20 the record: Is there something that's changed from
21 '87 to today that reflects this sort of going back and
22 forth in a position?

1 MR. LAMBERT: Well, I don't know that that
2 position -- there's been no vacillating a position
3 from MSA. Others may have expressed that concern to
4 you.

5 Clearly today manufacturers, users could
6 use dust-only respirators. And they also get
7 approvals for dust/mist respirators. And I would say
8 that the vast, vast majority of respirators out there
9 -- I don't know what that percentage is, but certainly
10 the vast -- have both dust and the mist approvals.

11 Now, all of a sudden, you're going to tell
12 the guy who has been using a dust/mist respirator,
13 where he has the dust solid particulate and perhaps a
14 mist environment, now you're going to say to him,
15 "You've got two choices: solid only, liquid/solid."

16 He's been using dust/mist. So he might
17 wane toward this side. But then, all of a sudden,
18 he's going to realize that this is a little bit more
19 expensive than this. And so he's going to now buy the
20 other less expensive respirator, the solid-only
21 particulate respirator.

22 I would ask the question: What's in use

1 today? Are they dust respirators or dust/mist
2 respirators?

3 MODERATOR MATTHEWS: Well, I don't want to
4 get into sort of a cross-fire here, but I would
5 request that ISEA and the other manufacturers who did
6 submit comments to us on this issue in '87, please in
7 your written submissions for the record address the
8 issue that MSA raises here because we'd like to make
9 an informed intelligent decision based upon the best
10 available comments and data.

11 DR. CAMPBELL: It may also be that Tom
12 Nelson, representing the industrial hygiene community,
13 may have some comments or suggestions to submit to the
14 record that would be relevant to those questions.

15 I had another, just a technical question,
16 I guess. The two recommendations taken together I'm
17 not quite sure I understand. Specifically I'm
18 wondering that if you were, in fact, to run the test
19 until the filter efficiency stabilized, basically you
20 would be running the test until the electrostatic
21 mechanism had depleted and you had left, then,
22 whatever mechanical, purely mechanical, filtration was

1 there and that if you were to do that test as the way
2 you suggested, then would the endpoint be the same
3 whether you used the hot or the cold DOP?

4 MR. LAMBERT: That's a good point, Don.
5 It's exactly the same. So using either thermally
6 generated DOP or cold nebulized DOP if you run the
7 test long enough does get you to the right point.

8 DR. CAMPBELL: So both of those
9 recommendations are actually inherent in the single
10 recommendation that you've made to run the test until
11 the filter efficiency has stabilized. Is that
12 correct?

13 MR. LAMBERT: It gets you to the same
14 result. The second recommendation is more related to
15 this, I'll use the term, "arbitrary" stopping point of
16 200 milligrams or 100 milligrams if the filters are
17 used in a pair configuration.

18 That point is reached either slower or
19 faster depending on whether you're using thermally
20 generated DOP or cold nebulized DOP. If you run the
21 test until it does level out, until performance levels
22 out, it doesn't matter what you challenged it against.

1 We saw that with the results of the mechanical filter
2 elements.

3 DR. CAMPBELL: Okay. I understand.

4 You mentioned that the solid-only filters
5 would likely be less expensive. Are there other
6 properties that the solid-only filter may have? In
7 particular, I'm concerned about breathing resistance
8 and asking: Is it likely that the solid-only class,
9 as we propose, would have lower breathing resistance?

10 The reason I'm concerned about breathing
11 resistance is not just in terms of the comfort to the
12 wearer. That's important, but maybe more important is
13 the fact or the relationship between breathing
14 resistance and the total performance of the respirator
15 and that if, for example, you were to reduce the
16 breathing resistance to half, you would be basically
17 reducing to a very good approximation the overall
18 leakage of the respirator by half.

19 So that the breathing resistance of the
20 respirator is inherently tied to the overall
21 performance of the respirator. And I'm wondering if
22 there is a connection between not only the expense of

1 the respirator, but the actual overall performance of
2 the respirator.

3 So even though the filter itself may be
4 better, the overall performance of the respirator
5 would be lower by virtue of the fact that the
6 breathing resistance may be higher. Could you comment
7 on that, please?

8 MR. LAMBERT: Yes. Obviously those
9 trade-offs have to be made in respirator design. And
10 the solid-only being less expensive, having less
11 filtration media in it potentially is going to have
12 different performance characteristics than a different
13 class, certification class of respirators.

14 I think the point is well-made. We see
15 that in today's respirators, that depending on what
16 they are certified to, NIOSH-approved to, there's a
17 direct correlation between that aspect per se and
18 inhalation resistance, to give you a example. We see
19 that in today's products.

20 DR. CAMPBELL: Let me just further explain
21 our thinking behind the proposal as it was made in
22 terms of breathing resistance. The values that were

1 there were basically there because we thought that
2 they were low enough to eliminate any physiological
3 problems.

4 We see many respirators that are now
5 produced that have exceptionally low breathing
6 resistance and that we expected that pressures of the
7 marketplace would drive breathing resistance down to
8 lower and lower values. And eliminating the
9 solid-only class may eliminate the low point in
10 breathing resistance for respirators that are
11 available to workers.

12 So that was the basis of our thinking in
13 terms of breathing resistance. So we're actually
14 hoping and expecting that breathing resistance of
15 respirators that were actually produced on the market
16 would be pushing the state of the art in terms of
17 lower and lower breathing resistance, --

18 MR. LAMBERT: Right.

19 DR. CAMPBELL: -- and especially because
20 that's a property of the respirator that is readily
21 apparent to the user.

22 So what I'm getting at is: If you

1 eliminate the class of solid-only respirators, would
2 you be eliminating the possibility of very low
3 breathing resistances that would be available or
4 somehow reducing that benefit to workers?

5 MR. LAMBERT: I don't think I know the
6 answer to that question right now, Don. There are a
7 lot of issues that go into comfort. Wearers choose
8 respirators based on comfort, not specifically
9 inhalation resistance.

10 Inhalation resistance is a component of
11 comfort, of that feeling that this respirator fits
12 well, feels good, is easy to breathe through, is
13 lightweight. There's a multitude of components that
14 go into that word "comfort."

15 And so to answer your question directly,
16 I can't answer that directly. I don't know if we
17 would see that.

18 DR. CAMPBELL: I'm just trying to
19 emphasize that we're concerned with more than just the
20 comfort, but the breathing resistance, the effect it
21 has on the overall performance of the respirator.
22 That's a key consideration that we'll have to

1 evaluate.

2 MR. LAMBERT: Okay.

3 MODERATOR MATTHEWS: Ernie, do you have --

4 DR. MOYER: Yes, I have a few remarks.

5 First of all, NIOSH recognizes the fact that NIOSH is
6 not intending to test these filters with the worst
7 case penetrating size for each and every filter that
8 is available.

9 If NIOSH decided to do that, we would, in
10 fact, run a study of efficiency versus particle size
11 for every filter that came in, find what the worst
12 case particle size is for that particular filter,
13 which could vary from manufacturer to manufacturer.
14 That depends on what the properties of the exact
15 filter are.

16 We would select that worst case size.
17 Then we would do all of our testing at that worst case
18 size with a mono-dispersed aerosol of that particular
19 size and mode.

20 That is not NIOSH's intention. Because of
21 the fact from cost limitations and personnel-type
22 criteria, we would be unable to run a certification

1 program doing that. So, instead of that, we tried to
2 select aerosol criteria that were in the worst case
3 penetrating size range. And that was the reason for
4 doing that.

5 We also --

6 MR. LAMBERT: Ernie, if I could address
7 that point?

8 DR. MOYER: Sure.

9 MR. LAMBERT: We agree with what you're
10 saying. We understand that. We understand that
11 aspect. But, as you remarked yesterday, the intent
12 was that you had these two apparatuses that could
13 produce that worst penetrating particle size range.

14 DR. MOYER: Okay. I'll get into that.
15 I'll get into that.

16 The second intent that NIOSH has is not to
17 base this criteria on any particular instrumentation
18 that is presently available. We tried to set up our
19 criteria in such a fashion that it is not
20 design-oriented but is based on performance-oriented.
21 That was our reason for selecting this type of
22 criteria.

1 The question I would have, then, regarding
2 the instrumentation is: From a theoretical point of
3 view, do you have any reason to suspect that a
4 particle of the same size and the same size
5 distribution and of exactly the same chemical
6 composition would have different penetrating
7 properties?

8 MR. LAMBERT: I have only the empirical
9 data that shows it does, Ernie.

10 DR. MOYER: What I'm asking you, then, is:
11 Could you provide to me exact data on the chemical
12 composition of the DOP at the time that the tests were
13 run?

14 MR. LAMBERT: Our testing protocol that
15 the ISEA used specifically stated that no DOP was used
16 at that test setup. Ernie, I don't understand what's
17 going on there. I don't think you do. I don't think
18 anybody does.

19 But what is clearly happening and what
20 happened at five test sites, five test sites, -- and
21 if you look at the average of each of those test sites
22 -- cold nebulized DOP gave a higher efficiency rating

1 to that same filter media out of that same
2 manufacturer's lot code than did thermal.

3 DR. MOYER: But you can't provide me the
4 chemical data on the purity of the DOP that was used.
5 Is that correct?

6 MR. LAMBERT: Yesterday the ISEA asked you
7 to do more testing, that we don't know enough out
8 this, that prior to 42 CFR 84 being published as a
9 final rule, that we partner together and try and get
10 our arms around this.

11 What I'm pointing out is that as written,
12 you can have two different sets of results, depending
13 on what you use.

14 DR. MOYER: Okay. Well, going on, it's my
15 understanding that in the present scheme of things, if
16 a filter passes by the present NIOSH criteria -- and
17 this addresses the point of dust and mist filters.
18 Most filters are, in fact, certified for dust and
19 mist, rather than dust only.

20 It's my understanding that in the
21 certification process if a filter is submitted for
22 dust testing and it passes the dust test, it's almost

1 a given that it will pass the mist test. So it would
2 be crazy for a manufacturer not to submit dust and
3 mist because the mist test is less critical than the
4 dust test.

5 So, in actuality, workers are not being
6 protected against mist because the test is not
7 critical enough to distinguish between dust and mist.
8 And I think most manufacturers recognize that point.

9 Also the test criteria for the different
10 levels that NIOSH has proposed being at 95, 99, and
11 99.97 percent, NIOSH does feel that that would enhance
12 the protection that workers are being afforded because
13 NIOSH is quite aware that there are a lot of dust and
14 mist and dust, fume, and mist respirators which are
15 presently on the market that would not meet the 95
16 percent criteria. So, in fact, NIOSH by that move is,
17 in fact, trying to enhance the protection to workers,
18 which is a point that you kind of brought up in your
19 thing.

20 We also have heard this morning -- and one
21 of the questions that was asked was whether there was
22 a need for a solid-only type of aerosol. And there

1 are people who have performed workplace studies and
2 who are familiar with the workplace who have come
3 forward and said they thought in their estimation that
4 a solid-only type of filter was, in fact, needed.

5 I understand that the liquid aerosol test
6 is, in fact, more critical than the solid aerosol
7 test. I think we all recognize that fact. And it's
8 from a degradation point of view. And that goes into
9 consideration with the loading. So we understand
10 that, and we would not debate that issue at all
11 because that's a given.

12 The point, really, that you seem to be
13 making in this is to go back to the '87 kind of
14 criteria, which are in your estimation more stringent
15 than the present criteria that were put forth in the
16 new proposal. Is that correct?

17 MR. LAMBERT: With regard to which aspect?

18 DR. MOYER: With regard to using both
19 solid and liquid for testing every type of filter
20 media; right? And also in regard to the loading test
21 which you say should be run out until the loading no
22 longer is at a point where the filter is degrading.

1 Is that correct?

2 MR. LAMBERT: That's correct.

3 DR. MOYER: Okay. So, basically, what MSA
4 is proposing kind of is to limit the type of filters
5 that can be used on respirators to mechanical-type
6 filters. Is that correct?

7 MR. LAMBERT: No, I don't think that's
8 correct. I think that the marketplace will find a way
9 through innovation to develop filters that meet those
10 criteria. It will happen.

11 You know, what we're strictly looking at
12 to this point in time and to the test that we've done
13 to date at only electrostatic filter media or only
14 mechanical filter media.

15 Has anyone presented any data or run any
16 test that shows the performance of hybrid media? I
17 don't think so. I think that we're getting bogged
18 down on this issue of eliminating a class of filter
19 media or potential filter media.

20 I'm not saying that at all. The focus
21 needs to be on the worker and protecting the worker
22 and making sure that he knows what he can expect out

1 of that filter. And if that filter degrades with
2 time, doesn't it make sense for a certification test
3 to run that test until the degradation has stopped?
4 That's what we're asking.

5 DR. MOYER: The issue of degradation I can
6 address the fact that NIOSH has taken that into
7 consideration in this test scheme by, first of all,
8 requiring that all filters be preconditioned before
9 they are tested at very stringent criteria.

10 Second of all, the loading criteria from
11 '87 to '94 was increased by a factor of two.

12 And, third of all, the aerosol that is
13 being used to test these filters is neutralized to
14 also try to eliminate any charge effects of the filter
15 medium.

16 So NIOSH has, in fact, in this criteria
17 addressed a lot of the aspects of charging of filters.

18 MR. LAMBERT: I think there are a couple
19 of points, Ernie, if I might. Number one, the issue
20 of raising the loading limit by a factor of two 1987
21 versus 1994 misses one very important point. And that
22 is that in 1987 it was discontinued if that filter was

1 degrading.

2 So now while we have arbitrarily chosen
3 100 milligrams versus 50 milligrams on a pair
4 configuration, now we're saying that "Well, that's
5 okay. That's enough." And I think we need to
6 seriously consider whether that is.

7 If you take a look at some data that MSA
8 has run in conjunction with an outside lab, this is
9 showing the cold DOP, the cold nebulized/hot DOP.
10 This is on electrostatic media.

11 You can obviously see from this graph that
12 the cold DOP data is continuing to degrade. That
13 percent penetration is continuing to rise, even when
14 you get to that 23 and a half-minute point where that
15 loading limit is reached.

16 Well, who says or where does it say that
17 23 and a half minutes are the right number or that 200
18 or that 100 or that 50, whatever the milligram load
19 limit is, who says that that's the number?

20 Doesn't it make sense to keep this graph
21 going out until -- just as shown here, those two are
22 going to come together, as Don indicated earlier.

1 They will come together. Doesn't that make more
2 sense?

3 The second point you mentioned with regard
4 to neutralization, I think it's a well-known fact that
5 neutralizing DOP doesn't have any effect on the
6 performance of the test. So when you take DOP and you
7 neutralize it or you keep it in the non-neutralized,
8 reaching that equilibrium really doesn't have an
9 effect on the test result.

10 DR. MOYER: The neutralization does have
11 an effect in the salt case, though.

12 MR. LAMBERT: Yes, it does.

13 MR. METZLER: Bill, I'd like to make one
14 last general point, and that's an observation that we
15 make in the certification program with regard to
16 certification standards in innovation.

17 We more often find the manufacturing
18 community producing products to meet the standard and,
19 in fact, to reduce performance that is possible down
20 to the standards because of competitive market
21 conditions.

22 So we see a need for certification

1 standards to drive technology, rather than limit it,
2 as we often see and have seen with a silica dust test.

3 MODERATOR MATTHEWS: Okay. Thank you very
4 much. Again, we would appreciate any data that could
5 be submitted with respect to the discussion that has
6 just taken place. It's been about an hour, but it's
7 been very helpful.

8 MR. LAMBERT: Okay. Thank you.

9 MODERATOR MATTHEWS: Okay. Could I just
10 ask with respect to Jay Parker in Glendale and Air
11 Techniques, Jeffrey Kiley, are your presentations on
12 the magnitude of MSA? I'm thinking about a break or
13 just going on and finishing up.

14 I get a "No" here. The rest. Okay. If
15 there's no objection, maybe let's just push ahead and
16 then wrap up. Is that okay with everybody? Okay.
17 Let's go ahead, then.

18 Jay Parker, Glendale Protective Tech.

19 MR. PARKER: Good morning. My name is Jay
20 Parker, and I am the Respiratory Protection Product
21 Manager for Glendale Protective Technologies.

22 Glendale is a manufacturer of

1 NIOSH/MSHA-approved respiratory protective devices.
2 As Product Manager for Glendale, I have responsibility
3 for all aspects of our product line, including
4 technical issues and testing and certification of
5 respirators.

6 Glendale is a member of the Industrial
7 Safety Equipment Association and we are in general
8 agreement with the comments provided by ISEA at
9 yesterday's hearing. I will, therefore, restrict my
10 comments to those areas which we feel need further
11 amplification and clarification.

12 Regarding the proposed types or classes of
13 filters, Glendale's position is that the type should
14 be changed to 99.97 percent, 95 percent, and 90
15 percent.

16 In the draft unofficial second notice of
17 proposed rulemaking on 42 CFR 84, NIOSH did propose
18 levels of 99.97 percent, 99 percent, and 90 percent.
19 I believe that it is in the best interests of the
20 respirator users to include a 90 percent level, which
21 would be adequate for many of the low to moderate
22 toxicity particulates and would allow such respirators

1 to be relatively economical in cost.

2 The middle level should be set at 95
3 percent in my opinion. There will not be much
4 difference in cost or actual performance between the
5 99.97 percent class and the 99 percent class.

6 The face seal leakage factor will negate
7 most of the improvement in efficiency between these
8 two classes, especially with half masks. The European
9 CEN standard for filtering facepieces allow one
10 percent penetration of paraffin oil for the P3 or
11 higher efficiency class for this very reason.

12 A 95 percent class would be more
13 economical in cost than the 99 percent class and would
14 provide a true intermediate level of efficiency after
15 allowance for face seal leakage.

16 The proposed grandfathering period of two
17 years is too short in my opinion. The manufacturers
18 will need sufficient time to develop new filter media
19 and adapt it to respirator filters to meet the new
20 requirements.

21 Many, if not most, of the respirator
22 manufacturers use media manufactured by separate

1 companies, whose priorities are not necessarily the
2 same as ours.

3 NIOSH itself will need time to test and
4 certify all of the new respirators that will be
5 submitted. Although the new tests are faster than the
6 existing tests, there will be an avalanche, obviously,
7 of new approval applications. And the sample size for
8 testing will go from 3 to 30.

9 There will also be quality assurance
10 documentation that will have to be approved. The last
11 time there was a change in the regulations with the
12 publication of 30 CFR Part 11, most of the existing
13 Bureau of Mines approvals were grandfathered for five
14 years, some longer. And the result was a generally
15 orderly switch-over.

16 In my opinion, two years is not enough
17 time to develop, test, and certify the new particulate
18 respirators. A precedent of five years was set by 30
19 CFR 11 when first published. I believe a minimum of
20 four years would ensure an orderly transition to the
21 new approvals.

22 In addition, I am in agreement with ISEA's

1 position on two years grandfathering for extensions of
2 approvals for currently approved particulate
3 respirators for changes involving filter media and
4 four years grandfathering for changes involving areas
5 other than filter media.

6 These changes are sometimes forced on us
7 by circumstances not under our control, such as
8 companies that no longer make certain media that we
9 are using.

10 The manufacturers need to have the ability
11 to make modifications to their existing respirators,
12 even if they are not ready to submit to the new
13 particulate standards. Otherwise, there may very well
14 be a gap of availability to the users due to this
15 scenario.

16 Another issue is whether the
17 grandfathering clause affects sale or sale and
18 distribution. The proposed rule refers to sale and
19 distribution of respirators.

20 Most U.S. manufacturers sell their
21 products through distributors. In the U.S. there are
22 thousands of small, medium, and large distributors

1 that distribute safety equipment. And the
2 manufacturers cannot control the sale of product from
3 these distributors.

4 The grandfathering period should cover
5 sale and shipment from the manufacturers only. When
6 NIOSH banned the sale of chromium-containing sorbents
7 in chemical cartridges several years ago, the question
8 of distributor sales did come up and NIOSH specified
9 that distributor sales were not covered by the ban.

10 Distributors should be allowed to continue
11 selling particulate respirators approved under 30 CFR
12 11 after the grandfathering period expires. To not
13 allow the distribution of product after the
14 grandfathering period ends would cause utter chaos in
15 the safety market.

16 Regarding respirator breathing resistance
17 requirements, Glendale is in agreement with ISEA that
18 the initial inhalation and exhalation resistance
19 requirements should be increased slightly to allow the
20 manufacturers more room to use higher efficiency
21 media.

22 Efficiency and resistance are related, and

1 higher efficiency usually means higher resistance.
2 Raising the proposed limits to 35 millimeters
3 inhalation and 25 millimeters exhalation would allow
4 more efficient media to be used and should not present
5 any significant physiological burden.

6 Currently 30 CFR 11 allows initial
7 resistance, inhalation resistance, as high as 70
8 millimeters for gas masks. And exhalation resistance
9 of 25 millimeters for single-use respirators without
10 valves for vinyl chloride and pneumoconiosis and
11 fibrosis-producing dusts, and 25 millimeters
12 exhalation resistance is allowed for supplied air
13 respirators.

14 Concerning the issue of test statistics,
15 Glendale is in agreement with the ISEA position that
16 the one-sided tolerance limit should be based on 95
17 percent confidence of 90 percent conformance, as was
18 used in the 1987 proposal, rather than the current
19 proposal, which uses 95 percent confidence of 95
20 percent conformance.

21 The purpose of this statistical test is
22 for the manufacturers and NIOSH to have more

1 confidence in the results obtained when testing
2 respirators for certification.

3 Under the current system, three samples
4 are tested. And if they pass, approval is granted.
5 The three results could all be borderline, but
6 approval is still granted. It is, therefore,
7 understandable for NIOSH to require statistical
8 treatment of the data.

9 The proposed criteria of 95 percent
10 probability of 95 percent conformance is unnecessarily
11 strict in my opinion and will result in additional
12 costs that will be transferred to the end user, with
13 little benefit.

14 Glendale would like to see 95 percent
15 confidence of 90 percent conformance because we
16 believe this is sufficiently stringent for the purpose
17 of these tests.

18 In regard to NIOSH's modular approach to
19 the rulemaking, Glendale understands the benefits to
20 be achieved by such a process. However, there are
21 potential difficulties, such as combination
22 respirators for gases and particulates, which have to

1 be modified to achieve the new particulate regulations
2 and may have to be modified again to meet the new
3 requirements of a future module on chemical
4 cartridges.

5 The facepiece fit testing or simulated
6 workplace protection factor testing module may also
7 require further modifications to approved respirators.

8 Therefore, the modular approach will
9 result in numerous modifications of respirators, which
10 will cause confusion, delays, and expense for the
11 manufacturers and the government.

12 The respirator users may be totally
13 confused by the endless parade of new approvals to new
14 requirements. However, the benefits of being able to
15 change certain parts of the regulations with speed are
16 not to be overlooked.

17 I would recommend that the modules be
18 carefully prioritized to achieve the least disruption
19 and to address the areas of greatest concern first.

20 Another issue is the isoamyl acetate fit
21 tests for all particulate respirators. Glendale is
22 concerned with the feasibility of testing filtering

1 facepiece-type respirators since the addition of an
2 activated carbon cartridge or a thick layer of
3 non-woven carbon-impregnated media to allow the test
4 to be performed can have a significant effect on the
5 fit of this type of respirator.

6 It may be meaningless to run a fit test on
7 a respirator that is modified in such a way as to
8 profoundly change the weight and fit characteristics
9 of the respirator, which is what could easily occur
10 with a typical lightweight disposable respirator.

11 It should be mentioned that the cost of
12 test equipment needed to run the new tests will be
13 over \$100,000, not \$60,000, as stated in the
14 supplementary information.

15 The test equipment for running the sodium
16 chloride and DOP tests is about \$45,000 per unit, and
17 the scanning mobility particle sizer required in the
18 proposal is about \$60,000. More than one test unit
19 may be required for production testing.

20 I would also question the increased
21 material cost for filters projected by NIOSH as only
22 pennies per filter. I would argue that these pennies

1 are going to add up pretty fast. I have seen some
2 pretty expensive media out there when one gets up into
3 the higher efficiency levels.

4 NIOSH also refers to the cost of
5 replacement non-HEPA filters as about one to two
6 dollars each and disposable non-HEPA filters at about
7 one to eight dollars each. I think the new types of
8 filters, especially for a 99 percent efficiency level,
9 may be considerably more expensive than existing
10 non-HEPA filters.

11 NIOSH states that some currently certified
12 respirators have demonstrated acceptable performance
13 when using the new standards. Is this data available?

14 A NIOSH study by Stevens and Moyer
15 published in the "American Industrial Hygiene Journal"
16 in May 1989 showed that dust/mist-type, paint
17 spray-type and dust, fume, and mist-type filters from
18 four different manufacturers had initial penetrations
19 of sodium chloride and DOP above five percent using
20 what I believe is pretty close to the proposed test
21 conditions.

22 NIOSH must also consider the research and

1 development costs of these new respirators and
2 increased manufacturing costs to make them.

3 Another huge concern is the selection of
4 respirators with these new classes replacing the
5 existing dusts, mists, fumes, radionuclides, radon
6 daughters, asbestos, paint spray, and pesticide
7 classifications.

8 Who is going to decide which class to use?
9 Does NIOSH intend on publishing a guide listing all
10 common air contaminants and what class to use? Will
11 OSHA do this? How about existing OSHA and other
12 federal standards that require certain types of
13 current particulate respirators, such as the OSHA
14 cotton, asbestos, and lead standards?

15 A system must be put in place to address
16 this issue of user guidance in the selection and use
17 of these new classes of filters. This point is of the
18 utmost importance because without the user guidance,
19 the new classes will not serve the purpose for which
20 they are intended, mainly to provide respirator
21 wearers with improved respiratory protection and cost
22 avoidance.

1 One key area will be the selection
2 guidance for determining whether a solid-only or a
3 liquid and solid approval is needed. The liquid
4 approval will be significantly more difficult to
5 obtain and will require more expensive media because
6 of the prevalence of electrostatic media in
7 particulate respirators. And, as we have heard,
8 electrostatic media is degraded by DOP. And the
9 penetrations are, therefore, higher when tested versus
10 DOP.

11 On the subject of assigned protection
12 factors, NIOSH is intending to publish a respirator
13 users' notice at the time of publication of the final
14 rule to provide respirator users with new assigned
15 protection factors for the new classes of particulate
16 respirators.

17 This notice will not go through the public
18 rulemaking process. I understand that assigned
19 protection factors are the next scheduled module, and
20 this notice will apply only in the interim period
21 between passage of the final rule affecting
22 particulate respirators and the final rule on assigned

1 protection factors. I still think the public should
2 be able to have input in this important area.

3 This can also serve as a quick start on
4 this module for assigned protection factors and
5 provide a base for this section. This would make
6 final rulemaking easier on assigned protection
7 factors.

8 In summary, Glendale Protective
9 Technologies as a respirator manufacturer is concerned
10 with this proposal, which needs to be modified as I've
11 explained in order to provide the end users with an
12 improved product at a small increase in cost.

13 Let's not rush into a new regulation that
14 will cause undue hardship and economic impact to the
15 respirator manufacturers and to respirator users and
16 still not provide end users with affordable improved
17 particulate respirators.

18 I would like to thank NIOSH for offering
19 me the opportunity to speak here today. Thank you.

20 MODERATOR MATTHEWS: Thank you very much.

21 I think we've gone through a good deal of
22 discussion on these issues in the last day and a half.

1 Does the panel have any other comments or questions on
2 that?

3 DR. CAMPBELL: Just one comment to clarify
4 the intent of the proposal in terms of the mention of
5 the users' notice. The intention of that was simply
6 to provide the transition information for users
7 between one standard to another.

8 A number of commenters in the last couple
9 of days have indicated the importance of that, and we
10 agree with that. And that was the intent of that.

11 The APF values that would be included in
12 that guidance would not only be intended just for the
13 interim period between a new APF module, but our
14 intention was only to address the changes in
15 nomenclature and notation that are associated with
16 this new standard to provide that guidance.

17 MR. PARKER: Yes. Okay. I understand.
18 Thank you.

19 MODERATOR MATTHEWS: Okay. Thank you very
20 much.

21 Next is Trish McBreen, Healthcare
22 Association of New York State.

1 MS. McBREEN: Good morning. My name is
2 Trish McBreen, and I am a registered nurse deeply
3 involved in occupational health and safety issues at
4 the Healthcare Association of New York State.

5 Perhaps better known as its acronym, HANYS
6 serves as the principal advocate for more than 400
7 not-for-profit public, voluntary, and federal
8 hospitals, nursing facilities, home health agencies,
9 hospices, and adult day care programs.

10 As a representative of HANYS, I am pleased
11 to be able to take the opportunity to make comments to
12 NIOSH today in regard to their proposed rulemaking on
13 respiratory protective devices.

14 For the past seven years, HANYS has been
15 actively and aggressively involved in providing
16 information and education focused on health care
17 occupational health and safety issues not only for the
18 well over 375,000 health care workers in its member
19 facilities, but for all workers involved in patient
20 care activities in New York State.

21 HANYS shares the concerns of all of those
22 who have spoken here the last two days for improving

1 the health and safety of working conditions, and
2 especially for those who are providing care to sick
3 people.

4 We have, of course, a special concern for
5 health care workers in New York State who are faced
6 with transmission risks while caring for exceedingly
7 high numbers of people with infectious TB.

8 HANYS supports NIOSH's proposed standards
9 of certification for respiratory protective devices,
10 and we are encouraged that NIOSH intends to replace
11 their 1992 recommendations for health care worker
12 protection against TB with guidelines for the use of
13 particulate respirators that meet CDC's recommended
14 four performance criteria for protection against
15 transmission risks.

16 NIOSH's certification standards is an
17 important first step toward determining the
18 appropriate level of protection needed for
19 occupational exposure to airborne pathogens.

20 Just as Mr. Lambert suggested, HANYS
21 proposes that NIOSH continue its research activities
22 directed toward a true understanding of the

1 transmission of TB.

2 Once the assessment of risk can be
3 qualified and quantified, since should then be able to
4 define the types or levels of personal respiratory
5 protection necessary to provide increased protection
6 for health care workers in both the presence and the
7 absence of higher levels of protection; that is,
8 administrative, engineering, and work practice
9 controls.

10 Absent this scientific information, health
11 care employers have been forced to move beyond the
12 surgical mask protection level into a whole jumble of
13 respiratory protective devices, none of which have a
14 grounding in science for protection from TB. And
15 HANYS does have a few questions it wishes to raise
16 after reading this very technical document that we
17 know we don't fully understand.

18 First, why is NIOSH proposing that filters
19 must demonstrate the ability to remove particles of
20 less than one micrometer in size, thus exceeding the
21 CDC recommendations?

22 By establishing that capacity as the

1 baseline parameter, NIOSH essentially guarantees that
2 regulatory agencies will establish this smaller micron
3 size as the minimum requirement particulate
4 respirators must meet in order to qualify as an
5 appropriate PR for health care workers at risk for
6 exposure to infectious TB.

7 The current HEPA requirement is
8 problematic because it mandates a performance level
9 for respirators that is excessive probably for this
10 purpose.

11 NIOSH may now be proposing to develop
12 multiple levels of performance standards, but may be
13 requiring construction material that is unnecessarily
14 and excessively impenetrable. The outcome may be no
15 improvement in user comfort and compliance, patient
16 safety, quality of care, and cost.

17 Second, HANYS is concerned about the
18 definition of a hazardous atmosphere as described on
19 Page 26862 of the "Federal Register." This is a very
20 broad definition. And we urge NIOSH to reevaluate
21 this definition in light of infection control
22 perspectives on disease transmission.

1 Not all pathogens produce disease through
2 the airborne route. Exposure to pathogens does not
3 necessarily result in disease. Factors such as host,
4 virulence, and the environment itself all play a role
5 in disease transmission.

6 As currently written, this definition
7 could be interpreted to mean that merely walking into
8 a hospital automatically means walking into a
9 hazardous atmosphere requiring some type of
10 respiratory protection.

11 And, lastly, HANYS asks how the
12 certification information will be used once the
13 performance requirements have been established and
14 implemented. How will this information be
15 disseminated? And how will it be interpreted and
16 eventually enforced?

17 We want employers to be able to comply
18 with recommendations or regulations related to
19 providing a safe and healthy working place. And we
20 want both employers and health care workers to be able
21 to make informed decisions about the appropriate level
22 of respiratory protection based not only on these

1 performance criteria, but also on the level of risk
2 determined to be present in each and every situation
3 where care is provided to persons with infectious or
4 suspicion of infectious TB.

5 In summary, then, HANYS supports NIOSH's
6 determination to evaluate the efficacy of respiratory
7 devices, and especially those to be used by health
8 care workers, for protection against the risk of TB
9 transmission in health care situations.

10 We urge NIOSH to continue its research to
11 determine how TB is transmitted and the efficacy of
12 all controls in reducing such risk for New York State
13 and the nation's very vital resource, the health care
14 worker.

15 Thank you for allowing me this
16 opportunity.

17 MODERATOR MATTHEWS: If you would like,
18 I think I can quickly, at least, start the response
19 on your three questions. Number one, with respect to
20 the one-micron size, why we're going below, that I
21 think generically arises out of other data about
22 exposure to other aerosols and other particles in the

1 "normal" workplace environment, the nonmedical
2 workplace environment.

3 And as you learned, this arises, these
4 regs arise, out of a mining statute and have been used
5 in general occupational settings. That's one piece.

6 The other piece of it, we are not
7 intending to get into a "TB respirator" certification
8 of one micron, 95 percent because that gets you into
9 and the manufacturers into Food and Drug
10 Administration concerns of medical device, pre-market
11 approval, and a whole cast of issues that could
12 further complicate this process. So we're not going
13 that direction.

14 Number two, with respect to your
15 definition, we will carefully look at your comments
16 that have been made there on that. With respect to
17 number three, how will the certification information
18 be distributed out to users, we will do this every way
19 we can, basically. And, as you have heard, we are
20 committed to a fairly inclusive process of working
21 with all of the various parties on that.

22 Rich, have you got a point?

1 MR. METZLER: Yes. I would only add one
2 point. MSA brought it up in its presentation. And
3 that is some of the unknowns with the transmission
4 concerns in the health care facilities against
5 multi-drug-resistant TB.

6 It has been brought out that the hierarchy
7 of controls, administrative and engineering controls,
8 there is no real-time measurement of those. The
9 effectiveness of those controls, breakdown of those
10 controls usually is known only after some studies have
11 been done on exposures to health care workers. And,
12 therefore, it is a reactive approach, rather than a
13 proactive approach, to protecting workers.

14 Some of the concerns over the respirator
15 selection in that particular application would be
16 knowledge of the particular particle size of the
17 infectious aerosol, concern over the ability to
18 actually make a measurement of the workplace
19 concentration to which the health care workers would
20 be exposed. None of those things can be done in real
21 time to know the exposures of health care workers.

22 A Class C respirator, as proposed in Part

1 84 here, will provide a margin of safety over that
2 which has been discussed in respirators meeting 95
3 percent of one micron.

4 MS. McBREEN: Okay. Thank you.

5 MODERATOR MATTHEWS: Okay. Thank you very
6 much.

7 The last scheduled is Jeffrey Kiley, Air
8 Techniques.

9 MR. KILEY: My name is Jeff Kiley, and I
10 represent Air Techniques. I'm the New Product Sales
11 and Marketing Engineer. ATI is a manufacturer of the
12 Q-127, the thermally generated, mono-dispersed DOP
13 aerosol machine used to test the HEPA filters and
14 other types of filters.

15 A little bit about my background. I
16 joined the company one week ago. And I'm here now to
17 comment about how these proposals are going to affect
18 our company.

19 My background is working with chemical
20 surety material. I came from that field into ATI.
21 That background gave me a unique perspective on
22 filters. In some cases the filter might be my only

1 protection from a lethal dose of nerve agent.

2 So myself and ATI are committed to
3 providing the best test equipment we can to ensure the
4 worker safety. I share that commitment, and ATI is
5 also committed to that.

6 Drawing on this meeting so far, we're very
7 concerned about the ISEA result showing difference
8 between the ATI Q-127 and the other instrument
9 manufacturer's aerosol machine. ATI wants to work
10 with NIOSH and the other manufacturers to resolve this
11 issue.

12 We feel that there should be no bias among
13 test machines, that one test machine should give the
14 same results as other test machines on the same filter
15 media.

16 We also realize that with the sodium
17 chloride, we do not currently manufacture a sodium
18 chloride tester. There's only, I think, one company
19 that does. And if we do get into that field, we will
20 also be looking to see whether our machine is biased
21 against someone else's.

22 One thing that I don't know is a

1 possibility would be whether NIOSH would want to
2 certify the test machines that certify the filters.
3 That's something that we might want to look at.

4 Specifically, what I came here to talk
5 about was the CFR 84 Part 11, Section 84.184,
6 Paragraph H, where the validation of the particle size
7 distribution is talked about.

8 The CFR specifies the technology of a
9 differential mobility particle sizer and gives no
10 latitude for any other type of technology. We feel
11 that there are other technologies out there that are
12 equivalent to the differential mobility particle
13 sizer. And this is essentially locking in the user
14 to one machine. And we feel that that needs to be
15 looked at.

16 We are also concerned that the forward
17 light scattering and equivalent, what is equivalent
18 to forward light scattering for a detection method?
19 For instance, is a flame photometric detector for
20 sodium chloride determined to be equivalent? And how
21 would the maker of the test equipment go about proving
22 that equivalency? That isn't addressed in this

1 article.

2 So what we currently have on our Q-127 is
3 a tindle awl. Now the tindle awl measures the
4 particle size of the output of the generator, but it
5 doesn't give a count median diameter. It just says
6 that the instrument is performing correctly.

7 Does there need to be a particle sizer at
8 the manufacturer's site doing the filter testing or
9 can the filter equipment test manufacturer certify his
10 equipment to meet the particle size distribution?

11 Those are things that need to be addressed
12 also because we feel if you make a company buy a
13 particle-size unit, they're going to have to buy the
14 particle-size unit, train people to use it, and that's
15 going to be a big cost for the filter manufacturer;
16 whereas, if the test equipment manufacturer is ATI, it
17 can certify the equipment to meet the spec and give
18 them a quality control assurance with the unit that it
19 meets the specification, it will continue to meet the
20 specification, that that will lessen the impact of
21 that on industry.

22 That's all I have to say.

1 MODERATOR MATTHEWS: Thank you.

2 Any comments?

3 DR. MOYER: One comment. In regard to the
4 use of DMPs for measuring particle size, I don't think
5 that was NIOSH's intent to limit it to one particular
6 type of technology and would probably go ahead and
7 say, like we have in the past, "or equivalent," which
8 would address that point. It was not our intent to
9 limit it to one technology.

10 MR. KILEY: The problem I have is
11 determining how does one determine what is equivalent
12 and what is not. You know, going back and forth
13 between the manufacturer and NIOSH, is NIOSH going to
14 have the say on that or is the manufacturer going to
15 have the say on that as to what is equivalent and what
16 isn't? And that's not really spelled out.

17 DR. MOYER: Okay. I would think NIOSH in
18 conjunction with the individual would have a say in
19 what is equivalent or what would be projected as being
20 equivalent.

21 MR. KILEY: And that's where I'm saying
22 NIOSH may be certifying the test machine that it uses

1 to certify the filters.

2 MODERATOR MATTHEWS: Okay. Well, we
3 probably aren't going to jump into a test equipment
4 program at noon on Friday. We hear your comment.

5 MR. KILEY: Okay.

6 MODERATOR MATTHEWS: All right. Anything
7 else?

8 (No response.)

9 MODERATOR MATTHEWS: All right. There
10 were two walk-ons that asked for air time. We have
11 been at this an hour and 45 minutes now. I would ask
12 you to be concise.

13 The two are Joe Rummler of Tecno1, Inc.
14 and then Wendell Anderson, former DOD, Joe first.

15 MR. RUMMLER: My name is Joe Rummler. I'm
16 with Tecno1, Incorporated. We are a medical device
17 manufacturer. Tecno1 supports the strides forward
18 that NIOSH's proposed ruling presents to the health
19 care industry.

20 We support the six classifications that
21 include solid and liquid solid classifications. We
22 also express support of the comments presented by

1 APIC, the Greater New York Hospital Association, the
2 Society for Healthcare Epidemiology of America, and
3 the Healthcare Association of New York.

4 However, we feel that there are several
5 criteria in the proposed rule which may unnecessarily
6 impede the development and proper use of respirators
7 in the prevention of tuberculosis transmission. These
8 criteria are fit testing, particle size and the nature
9 of the particle use, fluorite, and breathability.

10 Section 84.181 describes a fit test method
11 for non-powered particulate respirators which we feel
12 is not applicable to disposable respirators. These
13 respirators have no inhalation ports which would allow
14 the attachment of a charcoal filter.

15 In order to eliminate transmission of an
16 isoamyl acetate through the filter media, the entire
17 surface of the mask would have to be altered in such
18 a manner that any testing for leaks would then be
19 conducted under unrealistic fit conditions. We
20 request consideration of an alternate method: the
21 qualitative saccharine fit test or the same test using
22 Bitrex.

1 Particle sizes below one micron are not
2 challenges we feel representative of tuberculosis
3 bacteria. Yesterday the problems mentioned with
4 aerosol generation, variability of performance with
5 DOP and sodium chloride suggest that alternative
6 methods, such as those using latex spears of known
7 size, might be more easily performed and controlled.
8 We also request clarification of what constitutes an
9 acceptable alternative to DOP.

10 We feel that a fluoride of 85 liters per
11 minute does not represent respiratory rates normally
12 achieved in a health care setting. And we suggest
13 consideration of a more realistic end use specific
14 fluoride.

15 The high differential pressure limits in
16 the proposal designed to allow for the higher
17 filtration filters do not take into account the
18 construction of disposable respirators, which have
19 additional layers in their final configuration, which
20 would add to the differential pressure and decrease
21 the actual breathability relative to the breathability
22 of masks using filters isolated in valves, rather than

1 incorporated into the entire surface area of the mask.

2 With the exception of HEPA filters, the
3 result of these standards will be a disposable
4 respirator which is significantly less comfortable
5 than devices previously used in the health care
6 industry without documented increase in protection
7 against tuberculosis.

8 As with the HEPA, our concern on this
9 point is that some health care workers faced with the
10 possibility of exposure, rather than a certainty, may
11 intentionally fit the mask in properly during routine
12 use, allowing unfiltered air to pass under the chin or
13 around the sides of the mask, or they may rush through
14 procedures which require the use of respirators,
15 causing unsafe conditions.

16 To summarize, we would like to request
17 consideration of standards for respirators more
18 suitable for use in the health care industry so that
19 needs of the industry can be efficiently met without
20 compromising the standards required in other
21 industries.

22 Specifically, the standard would include

1 a lower flow rate, such as 50 liters per minute, a
2 particle size of one micron, and a fit test applicable
3 to disposable respirators, such as the saccharine
4 qualitative fit test.

5 We feel that this would allow the
6 development of highly effective and affordable devices
7 to protect health care workers from tuberculosis and
8 other airborne bacterial hazards.

9 MODERATOR MATTHEWS: Okay. Again, the
10 same comments I made with the previous speaker about
11 your last point on a sort of a health care respirator.
12 We are trying to be very thoughtful about how we go
13 about that so the manufacturers don't end up in a
14 pre-market approval for a medical device.

15 And that is triggered, really, as much on
16 what are the representations made by the manufacturer.

17 MR. RUMMLER: The reason we're concerned
18 about it is that, in effect, it is going to be used as
19 an approval procedure for the health care workers.
20 And what they're looking for is the respirator since
21 the recommendations by the CDC indicate that.

22 MODERATOR MATTHEWS: Yes. Okay. I

1 understand the point.

2 Any other comments, responses?

3 (No response.)

4 MODERATOR MATTHEWS: Thank you very much.

5 MR. RUMMLER: Thank you.

6 MODERATOR MATTHEWS: Wendell Anderson?

7 MR. ANDERSON: My name is Wendell

8 Anderson. I'm retired from the DOD organization.

9 During my service, active service, in that area, I was

10 responsible or involved with the development of the

11 original specifications, most of the development of

12 the filter materials, and most of the test equipment

13 that has been utilized over the intervening 50 years.

14 I don't look that old, but I really am.

15 (Laughter.)

16 MR. ANDERSON: What I'd like to call to

17 your attention is that the original military specs

18 have existed for many years. And over the years, DOE

19 has joined forces with the military. And the military

20 has seen fit to incorporate all of their requirements

21 into their given specifications, which are still

22 military-oriented and military-issued.

1 of theirs, and it is pretty much in line with --

2 MODERATOR MATTHEWS: I'm sorry. ASTM for
3 the unwashed here?

4 MR. ANDERSON: I think it's American
5 Association of --

6 AUDIENCE PARTICIPANT: American Society
7 for Testing Materials.

8 MODERATOR MATTHEWS: Thank you.

9 MR. ANDERSON: And at the same time ASHRAE
10 now has included a special area where they now address
11 it.

12 Now, what I'd like to do is concentrate
13 specifically on the higher efficiency areas and not
14 with respect to the medical aspects of it. This is
15 because the DOD has primarily decided and found by
16 experience that the filter materials that are based on
17 the electrostatic effect will not exist in the
18 military environment.

19 Because of the long lead time between
20 production and actual use by the troops, because of
21 the storage conditions in warehouses and under areas,
22 because of the use against toxic gasses, which can be

1 aerosol in form and are highly toxic, and because of
2 the fact that radioactivity will very quickly
3 discharge, and including humidity, they do not permit
4 plastic fibers to be used in their applications for a
5 gas mask. So I would prefer just to keep my comments
6 in the area of the high efficiency area.

7 In summary, what has happened over the
8 years, all of these groups have accepted two separate
9 tests for the determination of the efficiency of
10 filter materials. The penetrations affect particle
11 size. All of the physical parameters of the filters
12 are clearly defined in the military specs.

13 One is a nearly mono-dispersed aerosol
14 generated by the hot method or the condensation
15 method, as we refer to it. And we use either
16 photometers for gross or single particle counters for
17 individual use in this area.

18 Now, the photometer can be used very
19 adequately in the QA/QC area for specific measurement
20 of penetration. The single particle size counter can
21 be used if you are interested in efficiency pertaining
22 to particle size.

1 The other area is one that has been
2 referred to here as the cold smoke or the air-operated
3 generator. We refer to it as a poly-dispersed mode
4 because it has a broad spectrum of sizes.

5 It has an average light scattering
6 diameter of about .75 microns in diameter. It has a
7 count median diameter of closer to .5 micron, perhaps
8 maybe even a little lower.

9 By the way, the thermally generated smoke
10 or the condensation smoke has a light scattering
11 diameter of about .3 micron in diameter. If you go
12 over to the count median diameter, it is closer to .22
13 or .23 in diameter.

14 These have been adopted for the area of
15 testing all the way from the media clear through the
16 unit manufacturer, then into installation in systems
17 and actually in-place testing and evaluation of the
18 efficacies of these systems. We have tested systems
19 as low as a few liters a minute up to 100,000 CFM,
20 primarily with the poly-dispersed mode.

21 It is interesting to note that a lot of
22 the things that we refer to today have taken on a

1 different context. For instance, the loading
2 characteristics for DOP and sodium chloride are
3 entirely different.

4 The sodium chloride will deposit on the
5 fiber as a single crystal and preferentially, then,
6 but not always, the next deposition will be on the
7 crystal itself and not on the fiber. It results in a
8 dendritic structure that goes out through the open
9 areas of the filter. And that is one of the reasons
10 why it is so effective.

11 The liquid aerosol now will collect as
12 individual particles on the outside of the fibers.
13 And when a sufficient number have accumulated, it will
14 wet the fiber. And it will form a coating on the
15 outside of the fiber.

16 Now, what this does, it insulates the
17 electrical effect from the electric-type material and
18 prevents it having contact with the aerosol itself.
19 It also provides a leakage path for the electrical
20 content and electrostatic effect of the PAPR. And
21 that's why we do not use it in the military
22 applications.

1 We also do not use the plastic materials
2 for other reasons. One is we have found that we would
3 like to have a heat barrier or a reference barrier for
4 fire-fighting and for isolation of compartments which
5 are not necessarily directly related with the fires
6 aboard ship but which will have the use of the
7 ventilation systems that will distribute smoke into
8 the area.

9 And we have found that the standard gas
10 mask canister will provide that effect for them, and
11 even going through three and four changes of canisters
12 because the canister in the military is adapted so
13 that it can be changed with one breath of air. You
14 hold your breath, and you can change the canister from
15 one over to a new one.

16 I would like to clarify a couple other
17 things which I heard during here in the program.
18 Efficiency by itself means nothing. You must specify
19 what the velocity and the size of efficiency is.

20 Over and above that, if you want to take
21 a look at the solid versus liquid, you'll find out
22 that the filter doesn't care what kind of a material

1 that comes into it. It will be a characteristic of
2 the aerosol, of the filter material itself, and the
3 conditions of test.

4 And in the aerosol area, you will find
5 that it's the particle size, a particle size
6 distribution, and even the density of the particle.
7 For instance, plutonium, which has a density of
8 roughly 20 and is very highly toxic, can be
9 effectively removed in very small particle sizes which
10 exist in the processing for nuclear applications.

11 We have evaluated the system actively by
12 using viruses, by using phages, by using bacteria, by
13 using pollens. And we have effectively covered the
14 range from perhaps as low as .01 millimicrons all the
15 way up to 20 microns in diameter.

16 We have found that it really doesn't make
17 any difference whether it is liquid or solid, whether
18 it is pathogenic or nonpathogenic, whether it is
19 viable or nonviable. The criteria that is most
20 important is the characteristics of your challenge and
21 also the fibers in the filter itself.

22 For instance, we have discovered that if

1 you want to remove a one-micron particle effectively
2 in the filter by a process of filtration, all you need
3 in that filter is a one-micron fiber. If you want to
4 effectively remove plutonium, then plutonium exists
5 down in the micron, tenth of a micron and below range,
6 then you need those smaller fibers in there in order
7 to effectively give the removal, physical removal,
8 area that we have.

9 What we're suggesting is that you might
10 consider certain elements. For instance, the present
11 military standards have no provision for using sodium
12 chloride. And by using sodium chloride in your
13 directives, you will find that you are walking alone
14 in that area because most of the other people, both
15 the manufacturers of media and the filter test groups,
16 already have our equipment installed and use it on a
17 daily basis.

18 The second thing is sodium chloride
19 equipment is very expensive. And it is estimated that
20 to comply with your rules and regulations, it would
21 cost a small manufacturer or a large manufacturer for
22 that case in excess of \$100,000 by the time he got

1 the new generator, he got the new aerosol, he got the
2 new mobility analyzer, he got the new diluter, which
3 would be required for diluting the upstream
4 concentration to the downstream concentration because
5 the photoelectric system particle counter will not
6 process more than 30,000 particles per cc. So it
7 would be an undue burden on these individual
8 manufacturers.

9 Another thing which we would recommend
10 that you consider is that you use your highly
11 specified or specifications for only the filter
12 manufacturing process. And you could certify the
13 filtering manufacturer material so that it could be
14 used then by the person or the company who is making
15 the canisters.

16 We have found that most of the testing
17 done at the canister level reveals only the leaks in
18 the system, the damage that has been taken care of in
19 the process, and it is really not meaningful if you're
20 looking at the overall performance of the media.

21 I thank you for your consideration.

22 DR. MOYER: I have two questions,

1 basically. One of the questions is a question I asked
2 earlier and I would like to address to you. From a
3 theoretical point of view, if you had an aerosol, that
4 same particle size, same distribution, and same
5 chemical composition, and was made by different
6 generation methods, should it produce the same
7 penetration results?

8 MR. ANDERSON: If it was used under the
9 same conditions of test now, you know, if you're using
10 the same velocity and you have the same operational
11 tests, you should expect that.

12 DR. MOYER: Yes. Okay. Fine.

13 The second question is -- it's not really
14 a question. I understand all of the work that you
15 have done, and I respect it and was wondering if, in
16 fact, a lot of the information that you and your group
17 generated when you were with the Army would be
18 available to be looked at and could be submitted to
19 the docket.

20 I know a lot of that information was
21 classified because a lot of it had to do with
22 biological agents in the past. I know some of that

1 data has become declassified and that from my point of
2 view, it has been extremely difficult to get our hands
3 on that.

4 MR. ANDERSON: I think that you will find
5 that almost all of the reports have now been
6 declassified.

7 DR. MOYER: Okay.

8 MR. ANDERSON: And if you have specific
9 titles or specific numbers that you want, that can be
10 obtained from the Documentation Center over in
11 Virginia.

12 DR. MOYER: Okay. The reason for saying
13 that, unless you know the specific document number and
14 title, which is not readily available to us, it's
15 almost like being a classified document. That's why
16 I said that.

17 I have tried to get a hold of some of
18 those, have even had people who have worked at Agewood
19 Arsenal, trying to find out document numbers and
20 titles. It's held very hush-hush.

21 MR. ANDERSON: Right. Well, there are two
22 other areas which you might want to consider

1 exploring. One is in the area of DOE. They have
2 published a handbook on aerosols, which gives you the
3 basic parameters of aerosols. And they have now
4 published a handbook on air cleaning.

5 If you don't have those available to you,
6 you can get them from AEC, or DOE now.

7 DR. MOYER: Right.

8 MR. ANDERSON: If you have specific ones,
9 in my inventory of documents which I have retained, I
10 might be able to give you the exact numbers that you
11 could get from the Documentation Center.

12 DR. MOYER: Thank you very much.

13 MODERATOR MATTHEWS: Thank you, sir.

14 Larry, there weren't any other sign-ups,
15 were there? Okay. Any other comments from the panel?

16 (No response.)

17 MODERATOR MATTHEWS: We very much
18 appreciate the patience, the time, the energy that
19 everyone has put into this. We certainly will give
20 very thoughtful consideration.

21 Clearly we've got to work out the
22 technical aspects, as has been drawn out in the

1 discussions, both ISEA and MSA presentations. And we
2 clearly will work with all of the parties in coming up
3 with the appropriate type of user information before
4 we go to the final on this.

5 Rich, do you have any other comments?

6 MR. METZLER: Thank you all for coming.

7 We appreciate it very much.

8 (Whereupon, the foregoing matter was

9 concluded at 12:21 p.m.)