

NIOSH/NPPTL Public Meeting to Discuss Escape Respirator
Standards Development Efforts for Respiratory Protection
Against Chemical, Biological, Radiological, and
Nuclear Agents (CBRN)

April 29, 2003
Radisson Hotel Pittsburgh Green Tree
Pittsburgh, Pennsylvania

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

In the following transcript (sic) demotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

In the following transcript (phonetically) indicates a phonetic spelling of a word if no confirmation of the correct spelling is available.

In the following transcript "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

In the following transcript "*" denotes a spelling based on phonetics, without reference available.

In the following transcript (inaudible) signifies speaker failure, usually failure to use a microphone.

P R O C E E D I N G S

1
2 **ROLAND BERRY ANN:** Good morning everyone. Let me start
3 out by saying Rich Metzler, the Director of the National Lab,
4 had planned and hoped to be here this morning, but
5 unfortunately he had to be in D.C. for meetings and was unable
6 to make this, but we want to thank everybody for taking time
7 out of their busy schedules to be here today. We're expecting
8 lively discussions, good exchange of information, and welcome
9 to Pittsburgh. Now John Szalajda will give you details
10 of . . . the . . . what's going to happen today. Thanks

11 **JOHN SZALAJDA:** Well obviously I'm not Rich Metzler
12 either, but again, thank you for your attendance on this topic
13 and this continues what we're trying to do at NIOSH in terms
14 of using conceptual discussions to promote ideas and dialogues
15 for chemical, biological, radiological, and nuclear standards
16 to protect workers. In this case, we're addressing the
17 development of CBRN standards for an emergency escape hoods or
18 masks. We have a pretty ambitious agenda for today. What
19 we're going to try to do is to break down the day into a
20 couple of discrete areas. This morning we're going to
21 concentrate on the air purifying aspect of the escape
22 respirator. You're going to hear some discussions regarding
23 the overall strategy as well as some of the conceptual
24 requirements that we're considering at this time for the

25 respirator. Unfortunately, there'd be one small change,
26 Mr. Mattson from NIST is not here. I'm not sure if he's in
27 route or not. So we're going to skip over that part of the
28 agenda and bring him in later on if the schedule permits.

29 Some of the topics that we'll be covering through this
30 morning include the gas-life requirements for the respirator
31 as well as special tests that we're considering, in
32 particular, chemical warfare agent testing and laboratory
33 respiratory protection level or LRPL testing that we're also
34 considering. This afternoon we're going to move to another
35 area where we'll be looking at a self-contained escape
36 respirator. In addition, we're also going to be covering work
37 at NIOSH and SBCCOM are collaborating on in terms of
38 developing simulants to assist manufacturers in the design of
39 their equipment. And near the end of the day, Mr. Boord is
40 going to lead us, lead a discussion on some of the other topic
41 areas that NIOSH is addressing, in particular, things that
42 might be of interest to the manufacturing community, are R&D
43 program that we're conducting with SBCCOM to allow testing,
44 chemical warfare agent testing prior to the submittal of an
45 application.

46 In terms of the actual standards development, we've come
47 a long way with the program that initially NIOSH set out to
48 build partnerships with other Federal agencies to include the

49 National Institute of Standards and Technology, Soldier
50 Biological Chemical Command, Occupational Safety and Health
51 Administration, National Fire Protection Administration, and
52 we've defined working relationships with these agencies
53 through interagency agreements which allow us to cooperate,
54 provide some linkage and allow us to cooperate in development
55 of the standards.

56 We've also received funding to develop the CBRN standards
57 from a variety of sources. One that we have received money
58 through the Centers for Disease Control to address CBRN as
59 well as initial funding and continuing funding through NIST
60 from the National Institute for Justice. We also have
61 established a good working relationship with our partners from
62 the Army at the SPCCOM Soldier Biological and Chemical Command
63 to support us and using their expertise on testing with the
64 chemical warfare agents as well as the laboratory protection
65 level testing. I talked . . . I briefly talked on the topics
66 that we're going to be covering this morning earlier.

67 As far as some of the logistics concerned with the
68 meeting, there were signup sheets in the back. If you
69 didn't . . . If you snuck in without signing in, I encourage
70 you at some point this morning to go back and register that we
71 can record your attendance here at the meeting. Also just
72 wanted to remind you that we are transcribing the meeting in

73 terms of the actual discussions and comments from the
74 audience, what we'd like you to do at the point where the
75 forum is opened up for your comments and your discussion, to
76 come to the microphone in the center of the aisle and identify
77 yourself and your organization as well as providing your
78 comment. Also if we have an open period at the end of the day
79 to cover any additional presentations if there's anyone in the
80 audience that would like to address the meeting. If you could
81 either let me know or let the ladies in the back know who are
82 coordinating the meeting and we'll get you billed into the
83 agenda. Another, a couple other administrative things that
84 came to my attention, I think when you all came in, you
85 received this packet. In there, there's a survey which we
86 would like you to fill out and leave at the sign-in desk at
87 the end of the day. Also it was brought to my attention that
88 the hotel is offering a buffet lunch at the River's restaurant
89 off the main lobby. I guess the buffet is \$9.95 so if you're
90 interested in staying within the confines of the hotel, it
91 sounds like it might be a good opportunity for you to sustain
92 yourself during the course of the meeting. One other thing to
93 bring to your attention before we get into the conduct of the
94 meeting is that NIOSH has created a docket to receive public
95 comment regarding the standards development efforts and in
96 this, we'd like . . . the purpose of the document or the

97 docket is to solicit information from stakeholders and
98 interested parties that you feel should be considered by NIOSH
99 and this part of the standards development effort and there's
100 several different ways of contacting the docket office either
101 by mail, e-mail, fax, or phone. Those are all provided on
102 this slide. Also I think probably most of you are aware that
103 we try to make extensive use of our web site in promoting the
104 concepts and ideas that we're considered for the standards
105 development and that's our web site right there. And with
106 that, right now we'll move to . . .

107 **ROLAND BERRY ANN:** Can we load Phil's?

108 **JOHN SALLOTTO:** If you can bear with us for a minute,
109 Mr. Mattson is here and we'll load his presentation and . . .

110 **PHILLIP MATTSON:** And we've had the privilege of working
111 with NIOSH and SBCCOM for about, I guess, about 3 years now on
112 this project. And I'm going to talk a little bit about how we
113 got here, how we are managing a program that to this point has
114 been funded by the National Institute of Justice to develop a
115 suite of CBRN protective standards, and kind of where we're
116 going which we really don't know, but that's okay.

117 Again, the Office of Law Enforcement Standards at NIST,
118 what does the . . . why is there an organization dealing with
119 law enforcement at the National Institute of Standards and
120 Technology which was the organization formerly known as the

121 National Bureau of Standards? Some of you may remember 1967;
122 some of you may not. In 1967, it was period of great unrest
123 in this country. The crime rate was going up, confidence in
124 public security was going down at that time. A study was done
125 which basically indicated that the law enforcement community
126 was inadequately equipped in that they had a difficult time in
127 order to determine what type of equipment to procure.
128 Basically if you were a sheriff in New Mexico or a police
129 chief in Chicago or something like that, you were basically
130 left with the sales brochures and the salesman and the vendors
131 coming in telling you what they could do for you without a
132 great assurance that even if the equipment did as they as
133 advertised, that it would fill your needs. And this is not a
134 mark against the manufacturing community and in a lot of cases
135 they just didn't know what the requirements were that they
136 needed to meet.

137 Jurisdictions found themselves shelling out truckloads of
138 money on equipment that may or may perform their job. In
139 1971, the National Institute of Justice established what was
140 then known as the Law Enforcement Standards Laboratory at the
141 National Bureau of Standards. The NBS at that time was the
142 country's leading forensics lab and had years of experience in
143 developing standards and measurement technologies. So at that
144 time it seemed a reasonable fit. And then later on, we were

145 changed to . . . the name was changed to the Office of Law
146 Enforcement Standards.

147 We support the development of performance standards for
148 the National Institute of Justice. One of the major more
149 popular well-known standards that we develop is the standard
150 for body armor which is administered through NIJ and to this
151 point I believe it's approximately 2,600 law enforcement
152 officers' lives have been saved by wearing compliant body
153 armor and no officer has lost their life by wearing the
154 appropriate body armor. We talked a little bit about
155 performance standards. These are the missions of OLES to
156 develop or assist in the development of performance standards,
157 assist in compliance testing programs, develop technical
158 reports, and users' guides. You know, talked about minimum
159 performance standards, we're not talking mil specs. We're
160 talking about a standard that as opposed to a design standard
161 which says how you're specifically going to build it. We're
162 more concerned about performance standards which says it needs
163 to be able to perform in this manner under these conditions.

164 Now the Office of Law Enforcement Standard is a matrix
165 management organization. This picture was taken on one of our
166 better days and we're basically a group of program managers
167 that identify the needs of the customer, the community, and
168 develop teams and networks of organizations in order to

169 accomplish the mission. We're organized into six major
170 program areas which are outlined here. The CBRNE standards
171 effort is within the Critical Incident Technologies program
172 area. The senior program manager is Dr. Alf Ataw* and I'm his
173 assistant or backup or whatever. We focus in two main areas:
174 developing a suite of CBRNE standards and also a series of
175 equipment guides which some of you may be familiar. When we
176 talk CBRNE we're talking chemical, biological, radiological,
177 nuclear, conventional explosives and incendiaries. Some
178 people sometimes wonder what's the difference between an RDD,
179 the R and the N, the R generally refers to radiation dispersal
180 devices where you have some sort of radioactive material that
181 is then disseminated either through an explosive device or
182 something like that. The N is the nuclear which is generally
183 associated with a mushroom cloud, and after the mushroom cloud
184 is gone, then you have a very large RDD to clean up.

185 Also this year we're looking to incorporate into our
186 program communication interfaces with the first responders
187 which is definitely going to be of interest regarding
188 respiratory protection in order to make those interfaces work.
189 For each of the areas, the chem, the bio, the rad/nuke, we're
190 looking at the full suite of protective equipment including
191 respiratory protection, protecting ensembles, the necessary
192 detection equipment standards, decontamination, and then the

193 supporting guides and testing programs in order to pull the
194 whole thing together.

195 Now we didn't get there on our own. We work quite
196 closely with an organization called the IAB, the Interagency
197 Board for Equipment Standardization Interoperability. One of
198 the first things that the IAB did was to develop what is
199 referred to as a standardized equipment list or SEL which
200 basically outlines the equipment that is needed to outfit
201 various types of organizations to respond to WMD-type
202 incidents. One of the first things that the IAB noted after
203 they pulled the equipment list together was that they wasn't
204 really certain that . . . the IA . . . the SEL, if you had a
205 chance to take a look at it, is rather generic. It says
206 radiation detection equipment and so on. We're currently
207 working to make it a little more specific, but one of the
208 concerns is that they be interoperable that you're going to be
209 able to respond to a multi-jurisdictional incident and again
210 that the equipment is going to perform as you desire to meet
211 your needs.

212 So based on the results from the IAB and a number of
213 other areas, a multi-disciplinary organizational team was
214 formed to address the first priority of the IAB which was
215 standards for respiratory protection which includes obviously
216 self-contained breathing apparatus which was the first

217 standard that was developed by this group. The funding was
218 provided through the National Institute of Justice and two of
219 the main players on this group has been obviously NIOSH and
220 SBCCOM but with participation from a number of other
221 organizations as well.

222 Now as you can imagine, there's a number of technical
223 challenges associated with this. First of all, there's a lot
224 of information out there on the military aspects of chemical
225 and biological warfare agents, but a lot of that was behind
226 the green door. Our partnership with SBCCOM has helped
227 address that, but also the fact that a lot of the military
228 scenarios and the military equipment don't necessarily pertain
229 to typical first responder incident, whereas in the military
230 environment chemical warfare agent is disseminated is
231 generally going to be outside. The military suits up and goes
232 around it or scoots through it. In the civilian terrorist
233 incident, you're not necessarily going to have that luxury.
234 It could very well be a dissemination of a chemical warfare
235 agent in an enclosed area, you know building, subway, shopping
236 mall, sports arena, and the responders to that don't have the
237 luxury of going around it or avoiding it. The first
238 responders are actually going to have to go into it and so
239 it's going to be a much higher concentration of potentially
240 than the typical military scenario. The civilian equipment

241 which has been working fine for years against toxic industrial
242 chemicals going against chemical warfare agents is a new
243 untested arena and as many of you have found out doing through
244 the SCBA testing that chemical warfare agents do behave
245 differently.

246 This is next . . . a little bit . . . kind of explains
247 the methodology for the program. First thing that is done is
248 to do a hazards vulnerability assessment to identify what is
249 the threat, what are the conditions, the operating conditions,
250 and are we looking at exposure limits, protection factors
251 required for protective equipment? Are we looking at key
252 values that we need to ensure that our detection equipment
253 will be able to detect?

254 Next is the process to develop the standard, examine
255 existing standards and test protocols, determine the required
256 performance doubles for the equipment in question, and then to
257 draft test methods and standards in order to evaluate the
258 equipment. We then procure equipment and test our test
259 methods to make sure that something that was put together by
260 committee is actually something that can be executed
261 reproducibly in a laboratory and modify accordingly and then
262 the standard is revised and issued through the appropriate
263 means whether it's through NIOSH or NFPA or NIJ or ASTM or
264 ANSI as the case may be.

265 Next and very important is a compliance or certification
266 testing program. You have this wonderful document that says
267 you know what the equipment should do and how you should test
268 it. Then you need to test it to make sure that everything is
269 working. Develop a user guide, these are decision guides
270 which take the technical standard and convert it into a useful
271 English that is going to be understood by the folks in the
272 field that are using it or the procurement officials which
273 outlines the capabilities and limitations of the equipment as
274 a result of the standard.

275 And then obviously, you need to be able to maintain and
276 update the standard as new technologies come into play,
277 lessons learned through the testing program, maintain a data
278 base of compliant equipment, and so on, but again, this
279 process is not done in a vacuum either. There's procedures
280 built in throughout to solicit public and user comments such
281 as this forum right now.

282 We've achieved a number of milestones in this program
283 which have directly as a result of the work with NIOSH and
284 SBCCOM to examine the threat agents and concentrations.
285 Modeling was done to do that. The SCBA certification is
286 undergoing. The APR testing or standards and applications are
287 being accepted now for the APR. Now we're here for escape
288 masks.

289 In other areas, we're working with NFPA and other
290 organizations on protective ensembles and the challenge there
291 is going to be ensure that the interface between the
292 respiratory protection and your ensemble is not going to be a
293 path of entry. And we have published through the National
294 Institute of Justice a series of equipment guides which are
295 basically information in one area for users, procurement
296 officials to see what kind of equipment is out there. We're
297 currently in the process of updating the guide for chemical
298 and biological detection equipment. We hope to produce a
299 guide for radiation detection equipment, explosive detection
300 equipment, and then we will go on and revise the guides for
301 the decontamination and communications in PPE. We eventually,
302 as it stands right now, these guides basically reflect in a
303 gumball chart kind of affair the manufacturer's claims on the
304 performance of the equipment. We hope to be eventually able
305 to provide actual testing results as testing programs ramp up
306 and we're going to have this migrate to a web-based report as
307 opposed to producing hard copy. Because by the time you get a
308 hard copy through all the editors and reviews and so on, it's
309 been a year and a half since we started it. It's already out
310 of date.

311 Now, what's happening with the program, as I stated, we
312 have been funded to this point from the National Institute of

313 Justice. Now with the establishment of the Department of
314 Homeland Security, the Department of Homeland Security is
315 going to be picking up the funding for this program and
316 obviously with a new sponsor there's going to be potentially
317 some slight tweaks in priorities and so on. We're in the
318 process of sorting that out with the Department of Homeland
319 Security. There's two main potential sponsors: the Office
320 for Domestic Preparedness which previously was within the
321 Justice Department that handles the training for law
322 enforcement and equipment grants for law enforcement
323 communities. They're in one directorate of Homeland Security.
324 Another organization which is responsible for developing
325 standards within DHS which is in there science and technology
326 directorate is another organization that we're negotiating
327 with and it's been high adventure.

328 One of the results of all these changes and so on is the
329 Office of Law Enforcement Standards. We are changing our name
330 to something much more simpler, the Technology Office for
331 Public Safety and Security Standards or TOPSSS. We haven't
332 quite . . . You know what . . . one of the things is . . . in
333 order to be a viable organization, you have to have a good
334 acronym. You have to have a good logo and you have to have a
335 budget. Okay, we'll just leave it at that. I guess we're
336 going to be the office formerly known as OLES for awhile.

337 Bottom line is standards and that's what we're all here
338 about. From our prospective, this is our definition.
339 Actually this comes from Webster's dictionary of standards,
340 conspicuous object such as a banner or flag carried to the top
341 of a pole and used to mark a rallying point especially in
342 battle or to serve as an emblem that is our prospective of
343 standards. One thing that we hope to achieve through the work
344 here is to avoid something like this . . . (background noise
345 occurs) hopefully our standards will be a little more clear
346 and a little more effective than this.

347 And so for right now anyway, the contact information is
348 still the same, the phone number will still be the same, and
349 I'll be available I guess I don't know if we have a couple of
350 minutes for questions now or later. Thank you.

351 **LES BOORD:** Good morning. Phil, unfortunately, I
352 remember 1967. I think I remember it too well. What we'd
353 like to do to kick this session off is talk a little bit about
354 the Standards Development Program and some of you have seen
355 some of this before so maybe it'll be a little bit redundant
356 for you. I think it's worthwhile to go through the whole
357 picture so that we all see where we stand, but where we've
358 been touches a little bit with what Phil mentioned. We have a
359 CBRN SCBA standard which was announced in December 2001. We
360 have a CBRN full-faced piece air purifying respirator or gas

361 mask standard which was announced in March 2003. We are
362 currently in the certification process for both the SCBA and
363 the gas mask standard and we are in the development stages for
364 the CBRN escape respirator.

365 To put it all in perspective I think on this timeline,
366 you can see the SCBA, December, the gas masks last month, the
367 escape respirators we're targeting that for October of this
368 year for finalization of the requirements, and from there
369 we'll move on to the powered air-purifying respirators which
370 are currently targeted for March of next year. And then
371 following that, we'll look at other classes and types of
372 respirators, particularly the combination-type respirators.

373 The program that we're following, the CBRN concept
374 development program, we do have a program management system in
375 place where we identify milestones and timelines associated
376 with the programs and I think as most of you can recognize in
377 good program management, you need to do that in order to keep
378 the programs moving and to achieve the endpoints. Part of the
379 process includes . . . a very valuable part of the process I
380 may add includes the stakeholders' meetings and discussions
381 that we have including public meetings such as this. The
382 dialogue that we can conduct with the experts in the field
383 from all perspectives from the users, from the academia, from
384 manufacturing are very valuable in constructing the standards.

385 The process that we use to do that is what we call concept
386 development so I think most of you being here in this room
387 today to listen to these presentations are familiar with the
388 concept papers that we use to identify concept requirements.
389 The concepts as they are matured and become refined and become
390 more clearly visible that input and content is reflected into
391 the concept requirements. What we try to do is address
392 performance and design requirements, okay, primarily the
393 interest is to go for performance requirements so the
394 preference is to identify performance requirements for the
395 respirator. However, I think as we all know from our
396 experiences with respirators or standards in general, it's not
397 always entirely possible to achieve the endpoint that you want
398 just by a performance standard. In many cases, there are
399 requirements to specify design requirements. So I think the
400 more in a philosophical way, I think the more defined the user
401 segment becomes in the user group for the type of respirator
402 that we're defining, the more likely there is to be design-
403 type requirements because typically the user will have very
404 strong interests on certain requirements. So design
405 requirements do work their way into the standards where we
406 need to ensure technical integrity of the requirements and
407 technical integrity of the product that's tested against them

408 and where we have strong user demand, but clearly the
409 preference is for performance-based requirements.

410 Following our concept program of concept development, we
411 try to maintain and it may not seem this to all who are
412 viewing it, but we try to maintain a logical and consistent
413 rationale following sound engineering and scientific
414 principles when we identify both performance and design
415 requirements. The consequence of this is that we certainly
416 find ourselves in positions where perhaps we're stretching the
417 technology, the technology that's traditionally or routinely
418 used for respirator performance and design. The result of
419 that is that existing respirators may not comply with the
420 standards that we're developing as we move forward, but with
421 that in mind, we always try to make sure that even when we
422 stretch that technology that the requirements are still within
423 reach of state-of-the-art available, state-of-the-art
424 technology for design and performance.

425 With all that in mind, the topic that we're here to talk
426 about today is the CBRN escape respirator and as many of you
427 who have heard me say before I think you really need to have a
428 goal when you launch a project and you want to achieve an
429 endpoint. The goal for this particular effort is to develop a
430 standard for an escape-only respirator that address CBRN
431 inhalation hazards for use in terrorist events by the general

432 working population. So you may ask well what is the general
433 working population and we envision that as the people perhaps
434 in this room, office workers, perhaps emergency responders to
435 some degree, but basically the standard and the most important
436 point is the standard that we are working on and developing is
437 intended for the general work force.

438 When you look at the problems or the challenge of
439 designing an escape respirator standard, it certainly begins
440 with hazard analysis and I think for escape hazard analysis is
441 a very complex problem. And the reason is you need to have
442 some idea of what the intentions are for escape from where and
443 from what. Traditionally within the discussions of terrorism
444 response and terrorism incidents and events, we talk about hot
445 zones where we have high concentrations, potentially high
446 concentrations, warm zones where you have perhaps the support
447 activities that may result from a terrorist event or develop
448 over a period of time. So there just inherently are a wide
449 variation in the hazards, the possible hazards, and threats
450 that an individual may need to escape from. So we have
451 multiple, in addition to that, we have multiple escape
452 activities. Okay, we talk about hazard and threat analysis
453 and hazard and threat analysis can very much be site specific.
454 I think when you think about it, the hazard or threat
455 situation for . . . may differ from metropolitan area to

456 metropolitan area. The threat for perhaps Washington, D.C.,
457 is different than the threat that may exist in Houston, Texas,
458 or in Chicago or Los Angeles. So I think depending upon
459 proximity to industrial facilities and what the basic threat
460 for that area is so I think site specific is one of the
461 primary factors.

462 I addition to that there are different escape strategies.
463 Most people think escape, put it on, and run till you get out
464 and that is, that's an escape strategy, but that may not be
465 the only types of activities that you need to perform in an
466 escape scenario. Okay, you may need to, you may intend to put
467 it on and run till you get out, but you may get out to that
468 stairwell and find out geez*, this crowd isn't going down
469 those steps so easily or up the steps. So you may actually
470 find yourself very rapidly progressing to an area and then
471 having to wait. In addition to that, there may be escape
472 strategies, appropriate escape strategies that say shelter in
473 place. You don't necessarily exit the area, but you go to
474 perhaps a designated area and stay there. So escape strategy
475 has a multitude of variations and possibilities associated
476 with it. Now the key to all of this or the significance to
477 all this is that I think in designing and developing a
478 standard for escape CBRN escape respirators the standard needs

479 to be able to address respirators that in turn address the
480 multiple variety of hazards and escape strategies.

481 The escape strategy concept that we actually introduced I
482 think at the . . . right around September 1st last year
483 appeared in our first concept for this type of device defines
484 the overall range of escape respirators into three categories.
485 And the three categories are a high category, specific
486 category, and a low category. In a high category, we envision
487 a requirement for high protection. We're talking about areas
488 where we just have no idea what the hazard may be or we have a
489 really strong feeling that we're in the target area that could
490 experience extremely high concentrations basically hot zone
491 type concentrations or we have situations where we can
492 envision an oxygen-deficient environment that we need to
493 escape from. For the high category, the universal solution
494 for this type of protection is really a self-contained escape
495 respirator. From a high category, we go down to what we call,
496 what I want to do is skip down to the low category. And the
497 low category is where we're looking at a possibility for a
498 multi-hazard protection similar to the hazards and the
499 protections that we defined for our CBRN gas mask respirator
500 so we're talking about wide range of protections but from a
501 relatively low concentration point so these would be low
502 category type applications where you perhaps you're not in a

503 real high threat area or you're removed from some distance
504 away from what is thought to be a high threat area. So you
505 have the requirement for a low protection or a low level of
506 escape.

507 In between the high and the low, we envision a specific
508 category. Now the specific category is where we do envision
509 multi-hazard protection capability certainly with the chemical
510 warfare agent theme. Okay, one of the primary threats when we
511 talk about terrorism is certainly chemical warfare hazards,
512 but we all know that's not the only type of threat that we
513 need to be concerned about so with the specific category we
514 see a range of respirators that are providing perhaps a higher
515 level of protection against the hazards because there's more
516 refinement, more known relative to the requirements of the
517 types of hazards that need to be dealt with. And within this
518 category, there would be an opportunity to focus in on what
519 those toxic industrial protections might be as opposed to the
520 low category which gives an overall broad range of coverage.

521 So looking at the three categories and for those of you
522 who have looked at the concept paper this should be familiar
523 to you. This little table or tabulation really summarizes the
524 categories as I've just explained them. We have the high
525 category, the specific category, the low category. High,
526 we're looking at protections that would involve certainly CWA

527 protection, chemical warfare agent, toxic industrial material
528 hazards, high concentrations, oxygen deficiency, and the
529 respirator performance that you need if that is the hazard,
530 the type of hazard that you're dealing with is again a self-
531 contained respirator.

532 At the low category and we're talking about levels that
533 would be more comparable to warm zone and chemical warfare and
534 toxic industrial material hazards relatively low
535 concentrations, but oxygen deficiency is not necessarily a
536 protection consideration and here we're talking about an air-
537 purifying type of an escape respirator.

538 Then for the specific category, again, we're looking at
539 chemical warfare agents, but perhaps a greater focus on toxic
540 industrial on certain or select toxic industrial material
541 hazards, perhaps higher concentrations but still adequate
542 oxygen. So again, we're looking at a type of air-purifying
543 escape respirator.

544 Now with the categories defined and sort of setting that
545 as the framework, the objective is to develop a respirator, an
546 escape respirator standard and identify a concept for the
547 escape respirator standard that addresses both protection
548 needs and yet still achieves a balance between performance and
549 use. You might say well what do we mean by that . . .
550 performance and use and when we look at performance, we talked

551 about performance requirements a little bit earlier. So
552 performance, we're looking at respiratory protection from
553 hazards. We're talking about performance to meet
554 physiological demands or physiological requirements of the
555 user, performance in the way of ruggedness of the device or
556 its ability to withstand environmental extremes that it may be
557 exposed to during its everyday existence, and then finally,
558 performance, I think focuses on materials and when we talk
559 about . . . start talking about CBRN and materials, we need to
560 talk about the hazards, the hazards that we're dealing with,
561 and the effects those hazards may have on the materials
562 because chemical warfare agents are destructive by nature, but
563 we also need to be concerned about storage and what happens to
564 the materials when they're stored. Escape respirators
565 hopefully you never need to use them so they remain in their
566 package for a period of time and the materials need to be able
567 to withstand the storage conditions and yet be operable when
568 you need it -- so performance issues.

569 Use on the other hand, we're looking at the human
570 interface. When you don it, how does it don? What are the
571 steps in the procedures, the mechanical adjustments, the
572 fixtures, and the accessories that you need to function and
573 operate in order to use the unit. So the human interface
574 becomes a consideration. Donning, obviously, an escape

575 respirator you need to be able to open it up and get it on
576 pretty quick. So donning is an issue. I think also with
577 escape we can't, we can't ignore the training aspects. Again,
578 we're talking about a piece of equipment that hopefully we
579 never need to use. Typically we don't have the opportunity to
580 open it up every other day and try it on and practice with it.
581 So the training concept needs to be an important part of it I
582 think. And then finally, size and weight, because we're
583 talking about an escape respirator, an escape respirator that
584 is likely to be stored in a desk drawer or perhaps carried on
585 a belt or carried somewhere on a person's being. Size and
586 weight become factors that need to be considered.

587 At the meeting today, we're going to focus our
588 discussions and our discussions on the performance and the use
589 issues relative to the CBRN escape respirators and we're going
590 to focus on the April 15th concept. And I think for most of
591 you, you've probably pulled it off the internet, and if you
592 haven't, there are copies at the back of the room. That
593 version of the escape concept is divided into two sections.
594 Part 1 is where we addressed the CBRN air-purifying escape
595 respirator concept requirements and Part 2 is where we address
596 the CBRN self-contained concept requirements. And within
597 those parts of the concepts and through the discussions today,
598 I think we hope to illustrate to you that the efforts are

600 really aimed at addressing the protection and the use needs
601 and to achieve a good balance between those. Okay, and at the
602 same time, we want to stretch the technology, but we want to
603 be able to be within the reach of the technology because if we
604 have a standard that nobody can . . . that is impossible to
605 meet, we haven't protected anybody. So we need to make sure
606 that we achieve that balance and we stretch it the right
607 amounts and in addition to stretching it, make sure that
608 there's room for growth within the requirements.

609 I would like to also stress that the escape respirator
610 standard is in the development process so the April 15th
611 concept paper is indeed a draft of concept requirements.
612 Those concept requirements are not necessarily at a high
613 maturity level at this point through presentations and
614 discussions and further development work. We will refine
615 those requirements to the point that they do become clearer.
616 As we do that, those concept revisions will be entered into
617 the concept paper and posted on the web site. So as clarity
618 is provided, requirements will be adjusted and concepts
619 identified in the concept paper, but we'll try to limit that
620 to, as we've had in the past for other standard developments,
621 we'll try to limit to that to twice a month so that you're not
622 forced into a position where you have to go look at it
623 everyday. So typically if there are changes that are going to

623 be entered, it will be done at the middle of the month and at
624 the end of the month. And with that, I'd like to turn it over
625 to Mr. Szalajda.

626 **JOHN SZALAJDA:** I see that this is always dangerous when
627 you're out of town the day before a presentation like this and
628 we just never quite know what we're going to get when you put
629 the charts up on the system. What we'd like to do is spend
630 maybe about the next hour talking about some of the
631 requirements that we're considering for the air-purifying
632 respirator. We're going to focus on initially is to discuss
633 the gas capacity of the system and some of the results from
634 the benchmark testing that our Mike Monahan from NPPTL has
635 been managing and then also to address some of the breathing
636 gas control issues and requirements associated with the
637 respirator.

638 Bear with me for a second please. Let's try that again.
639 There we go. Thanks for bearing with me on that. I guess
640 with the just kind of get everybody back on the same frame of
641 reference and those who've been involved with the CBRN
642 standards development program can probably see this as a
643 refresher, but for those of you who are new to the process
644 that you know with any effort that part of the . . . critical
645 part of the program is to conduct a hazards analysis as far as
646 what we need to design the respirator to protect against.

647 Back in the beginning of the CBRN program, NIOSH worked along
648 with SBCCOM, reviewed multitude of different lists that
649 presented potential chemicals which could be used as a
650 terrorist weapon. And from those various lists, we developed
651 a vulnerability assessment which identified chemicals or toxic
652 industrial materials that could present a respiratory hazard
653 to a responder or to an individual that would require
654 protection. And that list covered both chemical warfare, or a
655 multitude of things that covered chemical warfare agents and
656 covered toxic industrial chemicals and also covered toxic
657 industrial materials and in trying to come up and design the
658 system, we felt that in order to have . . . establish a
659 manageable certification program, we felt there was a need to
660 break down the identified hazards in a way that we pursued in
661 doing that was to take the chemicals and put them into what we
662 called a test represented the families or families of similar
663 chemicals and we broke these down into different classes.
664 There was an organic vapor, hydrocarbon class, an acid gas
665 class, a base class, special families chemicals like
666 formaldehyde that didn't necessarily fit into one category or
667 another and also unknowns which are chemicals that we
668 identified to present a hazard but we're going to require more
669 research on our part before we can incorporate them into the
670 standard. We also spent a great deal of time looking at what

671 would be required in terms of particulate protection and the
672 results of the work that we did and evaluations of existing
673 data indicated that for biological and radiological particles
674 a P100 filter media would be sufficient.

675 Now the toxic industrial chemicals presented a unique
676 challenge in looking at the . . . trying to classify the
677 chemicals we decided to use after evaluating a lot of physical
678 property data associated with the chemicals we broke down and
679 classified and identified test representative agents for each
680 of the families based on vapor pressure considerations. In
681 that way we felt that this was really the single best
682 indicator of the ability of being able to absorb a chemical
683 onto the filter, the filter media and these are the . . . on
684 the chart identifies the materials that we are addressing as
685 part of the standard. I think if you are familiar with the
686 CBRN APR standard, I think that this list will look very
687 familiar in terms of the gases and other materials that we're
688 attempting to protect against.

689 Along with that, this is a list of the biological agents
690 that the P100 media will provide protection for and likewise
691 with the particulate matter associated with radiological or
692 nuclear agents that particulate matter will be absorbed by the
693 P100 as well.

694 What we're going to cover here in the next few minutes
695 are . . . let's try to go over and review some of the
696 benchmark testing that we've been conducting over the last few
697 months where we've gone out and taken commercially available
698 products then used to determine what the state-of-the-art is
699 in terms of respiratory protection and how well that is
700 related to the concepts that we're exploring. We're going to
701 spend a few minutes now talking about gas capacity. Live
702 agent testing we're going to cover a little later this morning
703 and immediately following gas capacity, we're going to talk
704 about the breathing gas control.

705 For the low category in addressing the air-purifying
706 respirator, these are the 10 representative . . . the test
707 representative agents are indicated on the left and the test
708 challenge is based in part on considerations of ideal age
709 factors. We initially looked at what we had established as
710 part of the air-purifying fine respirator program and the
711 consideration in trying to come up with the proper balance of
712 breakthrough versus concentration in looking at the use
713 scenarios for the different chemicals that you know we don't
714 necessarily believe that the higher concentrations that were
715 identified with the APR would be necessary as a test challenge
716 for this escape-type of device. The breakthroughs also are an
717 interesting topic that initially in starting and using the APR

718 as a basis that primarily we had considered the permissible
719 ratio of 50 percent of the permissible exposure level of the
720 REL level and as a result of our research, we identified and
721 we found that there are some other potential concentration
722 values that we could consider and these are called ERPG's
723 which are Emergency Response Planning Guidelines. And these
724 things were developed by the American Institute of American
725 Industrial Hygiene Association and they recently came out in
726 2002. The way the ERPG's are set up and why we found that a
727 tract of that in the selection of this number for the
728 breakthrough is that it's the maximum concentration in air for
729 which an individual could be exposed to for up to 1 hour
730 without suffering irreversible or other serious health
731 effects. And we thought for an escape respirator with the
732 intent of being to remove yourself from a situation that this
733 may be an appropriate value to consider and that's what's
734 reflected . . . the ERPG values are reflected in the concept
735 paper, but after some additional deliberation, you'll see
736 there's some values up there that are indicated in white
737 primarily the cyanogen chloride, the phosgene, and the sulfur
738 dioxide where there are some questions as far as how the ERPG
739 value was determined and what we've done is we've indicated
740 there what the APR value was and what we had originally
741 considered and the concept papers as far as a potential

742 breakthrough and again you know I think the point that to keep
743 in mind here in trying to develop and determine the capacity
744 that's needed for the filters with these devices is to try to
745 come up with the right balance between identifying the
746 protections that the device can afford.

747 A couple of other things of note on this chart, one of
748 the things that we were concerned about with the, in the APR
749 work was potential byproducts that could be seen by -- could
750 be seen in the breathing gas as a result of the
751 chemicals . . . exposure to the chemicals in the filtration
752 process and the one thing of note with the nitrogen dioxide is
753 that in looking at the APR requirements initially that we
754 sampled for both nitrogen dioxide and nitrogen oxide or NO is
755 part of the breakthrough concentrations. And right now we're
756 getting the impression from looking at the toxicology
757 associated with NO and NO2 and the use scenarios with the
758 respirator that sampling for NO may not be necessary as part
759 of the standard that the wearer may not receive enough of a
760 dosage of NO to present a respiratory risk.

761 In moving along as Les said, had mentioned earlier we
762 were . . . the second category in looking at specific threats
763 and trying to provide a means for a manufacturer and user
764 community to still get the chemical warfare agent protection
765 as well as addressing other toxic industrial chemical threat

766 and to give some leeway between the user and the manufacturer,
767 the manufacturing community the capability to tailor a product
768 to meet a specific user requirement. I think one example that
769 always comes up is that you know if you live, if you live in
770 proximity of a chemical plant that you may not necessarily be
771 concerned about everything on the list but you may have a
772 particular concern about ammonia or you may have a particular
773 concern about sulfur dioxide and with the intent of
774 establishing the challenges, the challenge and the
775 breakthrough concentrations the endpoint on the specific
776 category was to provide the basic protection against the
777 chemical warfare agents as well as providing especially
778 protection based on a user need or a manufacturer preference
779 to address a particular chemical.

780 But what we found, we'll throw the summary chart up at
781 you first in case you can't read the detail. But what we
782 found with the benchmark testing that we've conducted to date
783 is that the commercial products perform fairly well for 8 of
784 the 10 test representative agents. The two chemicals of
785 concern are ammonia and nitrogen dioxide. Nitrogen dioxide in
786 the long term may not be an issue at least as far as how the
787 concepts are currently being stated. Because with the
788 benchmarking that we did originally, we're sampling for both
789 NO₂ and NO and the failure . . .I shouldn't say the failures

790 but the shorter gas life's that we saw for nitrogen dioxide
791 we're based on the NO breakthrough, the filter. With only
792 sampling the NO₂, that issue may dissipate.

793 What I'm going to do is get down on the floor so I can
794 use the pointer a little bit. If you can . . . Where we were
795 with the benchmarking is that we looked at four commercially
796 available escape products and in this column you see the
797 challenge chemicals with the original -- challenge
798 concentrations identified using, using the rail over two
799 values that we had explored with the APR. And I think you see
800 that, and in considering this is a 15- or 30-minute respirator
801 that this product performed fairly well at being considered as
802 a 15-minute respirator that cyclohexane, sulfur dioxide,
803 ammonia, and formaldehyde all had a variety of readings before
804 the endpoint was reached. With some of the other gases,
805 hydrogen sulfide, cyanogen chloride, phosphine, phosgene and
806 hydrogen cyanide, overall the systems performed pretty well.

807 **(Unidentified Speaker):** (Inaudible)

808 **JONATHAN SZALAJDA:** Yeah these are . . . yeah, thanks
809 Rich. These are over here are minutes to the endpoint okay in
810 this category. At the top the 64 reflects the flow rate,
811 64 liters per minute at 25 percent relative humidity. This
812 was 64 liters per minute at 80 percent relative humidity and
813 then this was 100 liters per minute at 50 percent relative

814 humidity. Okay, this was for type A and for type B, again,
815 you get similar type results with varying degrees with
816 cyclohexane, ammonia, also nitrogen dioxide. This one
817 actually did slightly better than the other one. With type C,
818 we didn't get this part of the matrix filled in yet, but I
819 think you'll see that continue the same . . . the same trends
820 continue in addressing these four chemicals.

821 Last category, I think it . . . it still reflects that
822 for some of the acid gases it performs fairly well. You know
823 ammonia continues to be a problem. Nitrogen dioxide values
824 are marginal, but again, that's primarily due to the NO
825 endpoint. And what we also did in terms of expanding our
826 knowledge bases, we took a look at some of the chemicals that
827 were more stressed as part of the testing, cyclohexane, sulfur
828 dioxide, and formaldehyde. And we ran these tests at a higher
829 concentration than what was currently established for the low
830 category that these were the 2600 parts per million for
831 cyclohexane instead of 1300 and the SO₂ value was doubled and
832 the formaldehyde was doubled and this is roughly how they
833 performed. Again, they did fairly well and as for
834 product B . . . okay, then that's B, now let's have C . . .
835 and then product C. That again you know it shows that you
836 still . . . probably with some modifications and work that you

837 know it should be achievable to get the required service time
838 associated with the products.

839 And likewise with one of the considerations that we
840 explored in great detail as part of the APR standard
841 development was the flow rates associated with the gas life
842 testing and the concern that some of the traditional numbers
843 used for certification testing may not be truly representative
844 of what actually occurs in the real world and part of the APR
845 process as we attempted to address that concern in terms of
846 adding additional category for the gas life testing which was
847 to address what we call a panic demand associated with the use
848 of the respirator or you may have a higher physiological load
849 on the device and a higher breathing flow rates to stress the
850 system. And the research that we conducted with the air-
851 purifying respirator indicated that 100 liters per minute was
852 probably a good challenge for addressing this type of concern
853 in addition to the considerations of the lower flow rate.

854 And has Les had mentioned earlier one of the things that
855 we're considering and my colleague, Frank Palya, will discuss
856 later in detail this morning is the environmental and other
857 rough handling type tests that are going to be associated with
858 this product. And in looking at the concerns, you know
859 granted with an escape respirator, you know, it should ideally
860 stay in its package forever, but there are other

861 considerations that we've heard in terms from the user
862 community . . . in terms of how long the product should last,
863 how it may be stored, where it may be stored, and we felt that
864 there is a need to conduct a certain amount of environmental
865 and rough handling testing to verify the integrity of the
866 product and again, Frank will discuss those requirements in
867 later detail.

868 I guess what I'd like to do before Les discusses the
869 breathing gas if anybody has any specific questions about the
870 breakthroughs or the endpoints, we can address those now or
871 else we'll let Les go one with the breathing gas control. I
872 think we need a lightning rod in here.

873 **LES BOORD:** Thanks John. One of the things that I would
874 like to comment on. I think throughout the morning anyway the
875 term balance you've heard it quite a few times and I think
876 when we look at the benchmark testing that's been performed
877 and we look at what we're trying to do and that is to develop
878 performance requirements, I think that the benchmark testing
879 is really significant because what it does show us is that
880 when we tested the commercially available product today it's
881 showing us that the requirements are pretty much on track for
882 achieving performance in a package that's relatively the size
883 of the commercially available products. And I think you can
884 all pretty much envision what those products and their sizes

885 are so I think that is a positive indicator coming out of the
886 results of the benchmark study. So I think that benchmark
887 testing for gas capacity is very important to the development
888 process.

889 The next thing we'd like to talk about is the topic we
890 call breathing gas control and what that really means is
891 oxygen concentrations and carbon dioxide concentrations within
892 the breathing zone or that are the result of the performance
893 of the respirator. In the concept paper, actually in the
894 concept since the early editions of it back in August and
895 October of last year, we have identified a requirement, a test
896 requirement for carbon dioxide control. The requirement
897 performance wise is as illustrated on the screen there that we
898 have a carbon dioxide maximum inhalation concentration of
899 2½ percent and we have an oxygen minimum requirement of
900 19½ percent.

901 The April 15th concept paper identifies the mechanism for
902 establishing conformance to that requirement being performed
903 by a machine that we call the automatic breathing metabolic
904 simulator so this device for those of you who are not familiar
905 with it simulates the physiology of breathing so it has
906 oxygen . . . simulates oxygen consumption and CO2 elimination
907 at varying work rates, oxygen consumption rates which are then
908 tied to tidal volumes and specific breathing performance

909 requirements. The concept that we've identified is to
910 actually perform that test at six different loads, six
911 different work rates defined by the oxygen consumption rate in
912 liters per minute and those are as illustrated there and it
913 varies from a relatively low work load activity to a fairly
914 demanding load which is 3.0 liter per minute oxygen
915 consumption.

916 As with the breathing gas capacity and the panic demand
917 and continuing to discuss into the chemical warfare agent
918 testing, one of the key aspects in the development process is
919 to benchmark where we are with state-of-the-art. Again, we've
920 used commercially available escape sets tested against the
921 breathing simulator requirements identified on the previous
922 slide. The way the benchmark testing was performed is we did
923 multiple tests with each respirator according to the
924 requirements on the previous page. The results of this
925 benchmark testing from the machine tests indicated that we've
926 observed carbon dioxide levels within the breathing zone
927 greater than the 2½ percent and we've also observed oxygen
928 concentrations less than the 19½ percent and this testing is
929 continuing on as we speak. So the benchmark testing using the
930 simulator is continuing, but when we look at the results and I
931 would think probably most of you who are seeing this requestor
932 saying well that's the breathing machine, what can we really

933 conclude from it. And at this point, we're not in the
934 position to say. We feel that those results were kind
935 of . . . non-conclusive. So what we've done in addition to
936 the breathing machine part of the study, we've identified what
937 we call a human subject testing that is also being factored
938 into our benchmark testing and evaluation for the standards
939 development process. The testing using human test subjects is
940 in process. That testing to sort of bracket what we're
941 looking at there, we're looking at testing the escape
942 respirators on multiple test subjects at three different
943 levels of activity as identified on the screen. One is a
944 standing condition, secondly walking on a treadmill at
945 2½ miles per hour, then thirdly walking on the treadmill at
946 3½ miles per hour. Another parameter that we're looking at in
947 doing the human subject testing is the body weight, the size
948 of the individual. And in a broad perspective what we're
949 trying to do there is have subjects who are representative of
950 greater than 80 kilograms and subjects that are representative
951 of less than 60 kilograms. That testing as I say is in
952 process. What these preliminary results or preliminary
953 indications from the benchmark testing is sort of leaning us
954 in the direction of for our concept requirement for breathing
955 gas control is that we can envision and this is not currently
956 stated this way in the April 15th concept paper because our

957 benchmark data is developing as we go. The concept that we
958 envision is perhaps a two-part breathing gas requirement. The
959 first part being using the machine, the automated breathing
960 metabolic simulator, and then secondly a human subjects test.
961 If we conceptualize about that a little bit, we would say that
962 the metabolic simulator component of that requirement would be
963 envisioned to establish the acceptable functioning and
964 operation of the respirator. We would look at conceptually
965 performing the machine test at six different work rates as
966 identified in the earlier chart ranging from oxygen
967 consumption rates of about .5 up to 3.0 liters per minute and
968 what we are conceptualizing is the carbon dioxide requirement
969 on the machine part of the test would be at some threshold
970 value that's perhaps greater than 2½ not focused exactly on
971 what that number would be at this time. We need to continue
972 the development effort, but we're thinking of a screening
973 number that perhaps a little higher and that will depend on
974 how we . . . on the results that we achieve from our human
975 subjects testing. We've done the machine tests for most of
976 the respirators. It continues on some additional so we have
977 the machine component. We will be finalizing the human test
978 subject component and we'll marry the two of those together to
979 try to define what these threshold requirements would be, but
980 we would envision CO₂ on the machine leg of the test to be a

981 little bit higher than 2½ and an oxygen requirement that would
982 probably find a little bit of leeway or little bit of
983 relaxation on the 19½ percent. But then, when we look at the
984 human subjects tests, we would be looking at a requirement
985 where we again do that human subjects test at the same types
986 of work loads that we are currently doing and performing for
987 our benchmark test which is basically the three loads of
988 standing and the treadmill speeds of 2½ and 3½ miles per hour.
989 At each of those activities and on the benchmark test as well,
990 I can't recall if I mentioned it, but what we would do is
991 perform that particular level of activity for a 10-minute
992 period. So you would have the respirator in a standing mode
993 for 10 minutes, increase it to 2½ miles per hour for
994 10 minutes, and then 3½ miles per hour for 10 minutes. And
995 again the requirement would focus on test subjects,
996 categorized or defined by their weight and with the two
997 categories being greater than 80 and less than or equal to
998 60 kilograms. Any questions? Yes, Rich, announce who you are
999 and who you're with.

1000 **RICH STEIN:** My name is Rich Stein from QPS. Two
1001 questions, does this include all three categories when you
1002 make a submittal or are you just talking about for oxygen
1003 systems or self contained?

1004 **LES BOORD:** No, this is applicable to the air-purifying
1005 respirators as well as the self contained and the benchmark
1006 testing . . . by the way, the benchmark testing that we've
1007 reported on here is with the commercially available air-
1008 purifying type escape respirators.

1009 **RICH STEIN:** And then I think what I just heard you say
1010 is you went around at least conceptually 10 minutes,
1011 10 minutes, 10 minutes?

1012 **LES BOORD:** Correct.

1013 **RICH STEIN:** And that's even for a 15-minute unit?

1014 **LES BOORD:** That's a valid question okay that would need
1015 to be balanced so typically we were thinking of a 30-minute
1016 unit so that would be need to be factored into the requirement
1017 so that you have roughly 30 percent at each load. Jay?

1018 **JAY PARKER:** Jay Parker with the Bullard Company, on the
1019 cartridges I was just wondering if, well on the respirators,
1020 do you have to have inhalation and exhalation valves or will
1021 it be allowable to have the ability to breathe back through
1022 the cartridge?

1023 **LES BOORD:** That's a good question. Right now, the
1024 requirements for the concept, they do not specify the
1025 requirement to include the inhalation/exhalation valves. I
1026 think the experience, again, this gets to the issue or the
1027 sort of down the road performance versus design requirements

1028 so we're not specifying that you need those types of systems
1029 in the breathing control, but here's the performance
1030 requirement that needs to be met.

1031 **RICH STEIN:** Rich Stein again from QPS, I'm not sure if
1032 this is a question or more of a comment. This is the first
1033 time I really thought about using an ABMS for simple testing
1034 and normally what happens is as the manufacturer you want to
1035 set up the system to be equivalent and ABMS systems are very
1036 complicated and very expensive for what is being used for what
1037 I call a very extremely simple task and so I'd like to bring
1038 to your attention that there are other ways to do it for units
1039 which are not self contained which would be easier for the
1040 community to run the equivalent kinds of tests.

1041 **LES BOORD:** Yes, thank you. That's also a good comment
1042 and certainly will be taken into consideration. I think again
1043 being in the stage of developing the requirements collecting
1044 the data and then really analyzing it to focus on what those
1045 requirements will be that is a consideration. But that is one
1046 of the areas where we're still in the process of developing
1047 our data base. Yes, that's also the comment that Roland just
1048 made. While the meeting is being transcribed and recorded,
1049 certainly we would like to have those comments submitted to
1050 the docket as well so we can officially address it.

1051 **BODO HEINS:** My name is Bodo Heins from Draeger Safety,
1052 Lubeck. Concerning your benchmark tests, the 2.5 percent of
1053 CO2 and the oxygen content which has to be more than 19.5, you
1054 should realize that these data are very important for the size
1055 and the weight of the unit that the manufacturer has to
1056 develop especially all the breathing resistances. My
1057 impression is that you took most of your values from standards
1058 for working rates of a whole day, but if you only have to
1059 perform a 15 minutes service than you do not need discomfort
1060 which means for the unit it is much bigger than necessary and
1061 you have to differ if the unit is stalled or . . . if a
1062 man . . . the whole day if the man has to wear a unit which is
1063 very heavy to have the comfort for 15 minutes it's perhaps
1064 better to have a lightweight unit and a little more breathing
1065 resistance a little bit more CO2 content. For example, if you
1066 have 4 percent CO2 to use or not realize it if it he has more
1067 than 2.5 and you know also that in Europe for example, oxygen
1068 content is much lower than yours here in the states and it
1069 works.

1070 **LES BOORD:** Thank you Bodo, again if you could it is
1071 being recorded, we'll have it in the minutes, but if you could
1072 submit that as a docket as well. And I would clarify that the
1073 requirements that we have specified at 2.5 percent and
1074 19.5 percent are the currently defined requirements pulled out

1075 of as you mentioned the existing standards 42 CFR. I think
1076 Bodo also hit on one of the central themes that we've been
1077 talking about this morning and that is the ability to achieve
1078 that balance and that balance between performance, design,
1079 size, weight, and the entire system let's say which I think is
1080 really an important consideration in terms of an escape
1081 respirator. Yes.

1082 **ZANE FRUND:** Zane Frund, MSA. I guess a question, two
1083 questions, one on the ABMS what were you using or what do you
1084 plan to use as your VCO₂? You outlined some criteria for CO₂
1085 concentration, but you didn't state your VCO₂.

1086 **LES BOORD:** I'm going to ask Eddie. I think the R . . .
1087 is Eddy available? What was the RQ?

1088 **EDDIE SINKULE:** (inaudible)

1089 **LES BOORD:** Did we use a factor of one or did we use a
1090 point -- They are identified in the concept paper. We
1091 tabulated the overall performance. So and I'll give you the
1092 two extremes at the .5 VO₂, the VCO₂ is .4, and at the 3 it's
1093 3.15. They are identified.

1094 **ZANE FRUND:** Also regarding the acceptance criteria on
1095 the ABMS, you said you would permit . . . you were sort of
1096 looking for a function so the CO₂ concentration would be
1097 permitted to go above 2 percent, the oxygen below
1098 19.5 percent. What would be the acceptance criteria? You're

1099 looking at something like an inhalation/exhalation breathing
1100 resistance?

1101 **LES BOORD:** Actually the concept would be to have
1102 performance requirements for both CO₂ and O₂. When we do the
1103 machine component of the test, those may be different values
1104 than the 2%. So that would be . . .

1105 **ZANE FRUND:** They will be established values?

1106 **LES BOORD:** Yes. Yes. And that's the part of the
1107 program that we're in . . . trying to resolve now. Going
1108 through that development process in . . . so, yeah, those
1109 would be identified, CO₂ and O₂ requirements, machine and they
1110 would probably be different than the human version.

1111 **ZANE FRUND:** Thank you.

1112 **LES BOORD:** If I come back up a little bit too, I think
1113 Rich that sort of touches on the comment that you made that
1114 from a technical point of view using the metabolic simulator
1115 on a air-purifying respirator that is sort of a different
1116 approach. Okay, so that's why we foresee that there may be
1117 two levels or two, I don't want to say levels, but two
1118 thresholds. One based on the machine and then finally the
1119 real test is when you use a human test subject.

1120 **BODO HEINS:** It's Bodo Heins again from Draeger Safety.
1121 One important note for the breathing simulator is the way how
1122 you are taking out the CO₂. If you allow it to let CO₂ which

1123 goes through the respirator and it's still in the breathing
1124 circuit to stay in the system then you will have different
1125 results as if you take it completely out.

1126 **LES BOORD:** Thank you. Okay, if there are no other
1127 questions.

1128 **SAM SHEARER:** Sam Shearer from CSE Corporation, I believe
1129 the one table said that the CO2 concentration is an average.
1130 Do you mean average with time as in EN 401?

1131 **LES BOORD:** I would request Eddie to perhaps clarify
1132 that.

1133 **EDDIE SINKULE:** Can I see the slide that you're talking
1134 about?

1135 **SAM SHEARER:** Average.

1136 **EDDIE SINKULE:** Okay, that maximum average inhaled is the
1137 low adjusted inhaled, average inhaled CO2, and we're not quite
1138 sure if we're still satisfied with the 2.5 percent.

1139 (Inaudible.) Oh I'm sorry. It's the average over the breath.
1140 There's the (inaudible) average between (inaudible).

1141 **SAM SHEARER:** Basically any instance of time then you're
1142 saying? That's awfully tight.

1143 **EDDIE SINKULE:** Well there's two ways of looking at it.
1144 One way is to look at the maximum or excuse me that should
1145 read in fact that should read minimum average inhaled CO2 and
1146 maximum inhaled concentration of 19.5(inaudible). We're also

1147 looking at just minimum inhaled CO2 in comparison for
1148 (inaudible). Well the maximum O2, I guess what I'm trying to
1149 say is the oxygen would be a low high and the CO2 would be a
1150 high low. That's where metabolic simulator machine has
1151 (inaudible) for thinking of having only minimal inhaled CO2 is
1152 also averaged inhaled CO2. Average inhaled would be a flow
1153 adjusted CO2 during inhalation.

1154 **SAM SHEARER:** I'm not sure I'm with you, but if you're
1155 saying that the CO2 concentration has to be the 2.5 percent
1156 and you're not averaging it with time. Remember when you have
1157 people wearing this thing, they breathe differently and . . .

1158 **EDDIE SINKULE:** (inaudible)

1159 **SAM SHEARER:** Alright we'll think about that one.

1160 **LES BOORD:** Again, I think that is a good comment and I
1161 think that's the type of information we really need to have
1162 and to let's say focus on and bring light to in these types of
1163 presentations and discussions because as we refine the
1164 requirement, then that needs to be clear. Thank you.

1165 **MICHAEL KAY:** Mike Kay from Ocenco, I'd just like to
1166 clarify the 2.5 percent on the ABMS. Eddie Sinkule is
1167 correct. That is a flow-weighted average over that inspired
1168 wave form so it's integrating underneath the curve in that
1169 it's not looking at a peak. It's looking at that average
1170 maximum over that expired breath.

1171 **EDDIE SINKULE:** One single breath?

1172 **MICHAEL KAY:** Correct. It's doing it . . . It's a
1173 breath-by-breath analysis. Correct.

1174 **EDDIE SINKULE:** Okay. That's putting a lot of burden
1175 (inaudible). I can see (inaudible).

1176 **LES BOORD:** Okay, yeah, if we can . . .

1177 **BODO HEINS:** Bodo Heins again from Draeger Safety. If
1178 it's only for one breath, you will need a very fast measuring
1179 equipment and as this measuring equipment is very important
1180 thing, you have to fix it every time to come every time to the
1181 same results. And for one breath, it is very difficult to
1182 measure the CO2 or O2 concentration in that short time.

1183 **LES BOORD:** Thank you.

1184 **SAM PITTS:** Good morning Les. Sam Pitts, United States
1185 Marine Core, Chem Bio Incidence Response Force. Les, I see
1186 ambulatory escapees well represented. Are we going to
1187 consider unconscious non-ambulatory escapees at all?

1188 **LES BOORD:** Perhaps clarify the question a little bit.
1189 Are we going to include . . .

1190 (inaudible)

1191 **SAM PITTS:** We've noticed that . . . Like several escape
1192 mask manufacturers like some might have a bite valve to orally
1193 respirate and that's great if you're conscious, but for some
1194 unconscious people we may want to go with a nose cup type

1195 affair so that they can either orally or nasally respirate.

1196 Are you going to look at the unconscious non-ambulatory?

1197 **LES BOORD:** At this point in time, the concept does not
1198 focus on that. Basically, we're looking at the ability to be
1199 to don the respirator and use it, so not to put it on someone
1200 else. So that's not a part of the concept at this time.

1201 Thank you. Any other questions? I think we're about
1202 10 minutes into our break and we had a 45 minute break or a
1203 15 minute break scheduled so why don't we resume at 5 till 11,
1204 10:55. Thank you.

1205 (BREAK)

1206 **JONATHAN SZALAJDA:** Yeah. The requirements for air-
1207 purifying escape respirator. At this time what we're going to
1208 do is cover some of the special tests associated with the
1209 project chemical warfare agent testing, the LRPL test, and
1210 then the environmental and rough handling and human factors
1211 type evaluation. The next presenter is going to be
1212 Mr. Wayne Davis who used to be my boss in a previous life and
1213 at one time he was the Director of Respiratory Protection for
1214 SBCCOM and with the reorganizations that they're undergoing I
1215 don't know if that's his current title but I still know he's
1216 Wayne Davis so.

1217 **WAYNE DAVIS:** Thanks John. Can everybody hear me okay?
1218 I don't know what my current job is either but I'm here

1219 representing SBCCOM and I want to go over some of the testing
1220 that we've done on commercially available hood type systems
1221 just to show you what the baseline looks like. We've been in
1222 the hood business for quite some time at ECBC or SBCCOM. We
1223 started hood testing basically back in the 1985 timeframe
1224 where we made some hoods for some special application
1225 purposes. We've also been working with the technical support
1226 working group who has some hood requirements that they
1227 developed for the State Department. We done hood testing
1228 there. We also have an Army program that you see there, the
1229 joint service chemical environment survivability mask which is
1230 an ongoing program looking for a hood system generally for
1231 escape purposes for military applications.

1232 What I'm going to talk about today is a NIOSH baseline
1233 testing that we've accomplished with some of the commercially
1234 available hoods. Now the way we do the chemical warfare agent
1235 testing is we use what's called the SMARTMAN system and
1236 looking around the room I imagine almost everybody knows what
1237 that is. Basically it's a head form with a breathing pump or
1238 you can put an agent concentration around the system and
1239 monitor the penetration and the permeation through the
1240 materials that go into the breathing zones. I want to point
1241 out also that with the SMARTMAN we're not looking for the fit
1242 of the respirator on the head form. We're looking for the

1243 functionality of the system; therefore, we try to get a
1244 perfect fit before we go into any type of agent testing.

1245 The SMARTMAN equipment is shown here. Basically we have
1246 syringe pumps and air controllers and mixing chambers so that
1247 we can get the proper concentration going into the test
1248 chamber. We also have a breather pump which uses a sinusoidal
1249 wave form and we have various detectors to detect the chemical
1250 agents. The chemical agents we use normally are sarin or GB,
1251 mustard which is HD, and we also cyanogen chloride on
1252 occasion.

1253 This shows the setup. This is one of the older pictures
1254 when we had equipment all over the place. Our labs have
1255 cleaned up and simplified to some extent, but you can see that
1256 it's a fairly complicated test. We have the detection
1257 devices, the Dynatherm's gas chromatographs and what not
1258 located near the hood assembly. The SMARTMAN system, itself,
1259 the head form's in the chamber along with some of the detector
1260 equipment. If you need details on any of this equipment,
1261 Ray Lins from our laboratory is here and he can go into
1262 excruciating detail on every component associated with it.

1263 Here's another picture where we've cleaned it up a little
1264 bit. Show the TDA99 in the front of the hood assembly. Every
1265 respirator is tested with a TDA99, which is an aerosol leak
1266 detector before we can go into the agent test. What we found

1267 if we can't pass the TDA99 test, normally the hood system or
1268 respirator system doesn't do well in the agent testing. So
1269 that's a preliminary test to make sure that we have a good fit
1270 when we start a test. And the test method has been developed.
1271 It's been validated. It's been approved for the SCBA and APR
1272 respirators under the NIOSH certification standard. We've
1273 been using it for about 5 years now in the Center. We're very
1274 comfortable with the test. There is one difference in the
1275 escape hood portion of the test from other testing that we've
1276 done. Basically because we have a bladder system that seals
1277 around most of the APR systems to form a perfect fit around
1278 the face piece. We don't have that same type system around
1279 the neck of the SMARTMAN head form so what we do is we tape
1280 the neck seal to the head form to get a good seal. I'm
1281 showing gray on gray there so I'm not sure you can see it from
1282 the back of the room, but that is tape along the neck seal of
1283 that particular hood system.

1284 We also do the TDA99 on the actual agent head form with
1285 the escape hoods and the reason for that is we . . . since
1286 escape hoods are generally one-time use products, we only want
1287 to put it on the head form once before we test it and because
1288 of that we test in the agent chamber itself. With APRs we do
1289 it in a separate chamber before we go into the agent chamber
1290 because once it goes into the agent chamber, the item is

1291 toast. We're not going to re-use it. It's not going to come
1292 out from the contaminated waste stockpile.

1293 When we started the baseline testing, we were trying to
1294 figure out what to test to. We decided to test to the SCBA
1295 standard which is the most rigorous standard that we test to
1296 essentially. That calls for a GB challenge of 2000 milligrams
1297 per cubic meter for 30 minutes, a very high challenge rate.
1298 We have various break points for that. We have a . . . it
1299 cannot exceed 2.1 ct or the dosage cannot exceed 2.1 milligram
1300 minutes per cubic meter and also no instantaneous
1301 concentration can exceed .087 milligrams per liter. For the
1302 mustard, we challenged at 300 milligrams per cubic meter for
1303 30 minutes. Now we put on, look good on at the same time as
1304 we do the mustard test to roughly 10 grams per square meter or
1305 .86 milliliters of agent is what it turns out to be. We have
1306 a break point there of 6 ct for the dosage and the break point
1307 of .6 as an instantaneous challenge concentration that we
1308 don't want to exceed. That's the same as in the SCBA
1309 standard. Breather flow rate is 40 liters per minute and we
1310 consider this a worse case scenario as I mentioned.

1311 Now I'm going to put up some eye charts. Can people read
1312 that from the back of the room? Is that a yes? Okay. All my
1313 charts are set up the same way. Essentially the chart on the
1314 top left is the cumulative dose or ct in milligram minutes per

1315 cubic meters with time and the bottom chart shows the
1316 concentration versus time in milligrams per cubic meter. This
1317 shows one hood system that we tested. You can see essentially
1318 that it went about 28 minutes and continued to climb. We
1319 probably turned off the test then so we wouldn't saturate our
1320 detectors, but we also exceeded the concentration in about
1321 24 minutes also in that particular test.

1322 I'm going to run through these fast and I don't want to
1323 dwell on some of these. Here's another hood system. You can
1324 see that this went the full 2 hours. It didn't exceed our
1325 criteria of 2.1 ct and also the concentration stayed below our
1326 cutoff concentration. So this test was considered a good
1327 test. Here's another set of data. We do tests with both the
1328 oral and nasal area and the eye area in some cases just to see
1329 where the differences lie, but you can see here this had very
1330 little penetration into the system during the entire test and
1331 we had two samples that just stayed at baseline. So you can
1332 see the hood technology seems to indicate we can very high
1333 protection with the basic systems themselves in terms of their
1334 functionality. This shows some HD data. You can see in this
1335 HD is normally a difficult test to pass largely because of the
1336 liquid challenge concentration. We find when we apply the
1337 liquid, we apply it to the most critical parts of the system
1338 that it can cause significant problems in terms of passing

1339 this test in terms of permeation as well as also damage to
1340 components. The test here shows that it lasted about
1341 54 minutes, but it was up way high at the 20 ct level.
1342 Remember our cutoff is 6 so it really passed that point at
1343 about 24 minutes. You can also see that we exceeded our
1344 cutoff point of . . . well it ran up to the cutoff point of
1345 .6 milligrams per cubic meter.

1346 Here's another set of data. Again this one ran the full
1347 2 hours. It stayed below the ct criteria and it also stayed
1348 below our instantaneous concentration criteria. You can see
1349 that we put on the agent for at 30 minutes so it looks like
1350 this probably has some permeation based on residual
1351 contamination on the system that comes through with time.

1352 And we had three samples that showed baseline
1353 concentrations. So you can see the data indicates that there
1354 are hood systems that do provide excellent protection. Some
1355 of the lessons we learned not from this particular testing but
1356 over the years in terms of testing, these samples I gave you
1357 hadn't gone through high-temperature storage. They hadn't
1358 gone through package vibration as some of the other testing
1359 that we normally do prior to the agent testing. These two
1360 areas have been shown to be problems with other mask systems
1361 that we tested so in the design process, the contractor should
1362 look hard at these two areas. The high-temperature storage

1363 tends to cause the seams to disbond, causes the material to
1364 blot so it tears when you open it, and things like that. The
1365 rough handling if it's not packaged adequately, it tends to
1366 break things. The materials of construction are also a
1367 concern. Mustard, we've tested some systems and actually
1368 pieces have fallen apart when you put the mustard on it. You
1369 have to make sure that the materials are compatibility with
1370 the chemical agents and are durable.

1371 A real brief summary of results, I think escape hoods are
1372 capable of very high levels of CWA protection certainly in
1373 concert with what's been presented today. Are there any
1374 questions?

1375 **BODO HEINS:** It's Bodo Heins from Draeger Safety. Is it
1376 right that your SMARTMAN was being constructed to test a full
1377 face mask? Because I could see that you have only a rubber
1378 part which was sealed as the outside of a full face mask but
1379 for hoods which are using for example a half mask or a nose
1380 cap there's no possibility to seal this area which increases
1381 the fit factor for a good portion.

1382 **WAYNE DAVIS:** That's true. It wasn't designed
1383 specifically for nose cup sealing or neck sealing. It is
1384 a . . . physiologically it does match the human face fairly
1385 well but there is a chance that you could have some bypass in
1386 those areas in the nose cup or in the neck seal. Since we do

1387 seal it, I think we have a very good seal in that area. One
1388 thing that we have found is that the quality of the neck seal
1389 can influence it. There's some neck seals we consider good
1390 quality and others that are not so good quality and that's
1391 shown in the PF testing later on also.

1392 (inaudible)

1393 Correct, right, Roland pointed out we are looking not the
1394 fit test for this but just for permeation, but I think your
1395 point is still that permeation or penetration can be impacted
1396 by the way it fits the test head itself. Are there any other
1397 questions? Thank you.

1398 **JONATHAN SZALAJDA:** The point has just been made about
1399 this being . . . geared to be a penetration and permeation
1400 effect or a test and not necessarily a fit test. I did want
1401 to bring up at least for a minute some of the methodology that
1402 went into the development of the test criteria that is part of
1403 the SCBA program and then is part of the APR program. We
1404 build on modeling that Phil Mattson had discussed this morning
1405 that was developed in concert between SBCCOM and NIOSH to come
1406 up with credible events that we try to envision where a
1407 terrorist could deploy a CWA agent and various scenarios were
1408 identified and evaluated and challenge concentrations were set
1409 up based upon on those scenarios and what we did as part of
1410 the interim process was in looking at the SCBA evaluation

1411 criteria that we selected at the time we called the most
1412 credible event which we thought would be a probable situation
1413 or responder would go into an event and this would probably be
1414 the challenge concentration that he would see. With the APR
1415 we're looking at a completely different scenario with as far
1416 as an operational requirement that the challenge is known and
1417 quantified and if you're in a less than ideal H scenario which
1418 I believe most hygienists call the warm zone and along with
1419 building on that knowledge that we established with the air-
1420 purifying respirator with the gas mask we looked at
1421 transitioning those warm zone requirements from the gas mask
1422 to the air-purifying escape respirator and, therefore, we came
1423 up with these values for the chemical warfare agent testing.
1424 As far as the vapor challenge of 210 milligrams per meter
1425 cubed or for GB which is reflective of what we tested with the
1426 or testing for the air-purifying respirator for the gas mask.
1427 As far as the test time we're anticipating doing is that for
1428 if you have a 15-minute device for example that we would
1429 expose the respirator to this concentration of sarin for
1430 15 minutes and then there would be a 15-minute decay period
1431 where the agent challenge would be shut off and we would
1432 continue to monitor for penetration or permeation through the
1433 respirator.

1434 And likewise for HD for sulfur mustard, the result or the
1435 challenges are reflective of what was developed as part of the
1436 gas mask program and again the same parameters for exposure
1437 and total test time are based on the time that the
1438 manufacturer identifies for his respirator whether its 15, 30,
1439 45, or 60 minutes.

1440 The other part of the special test that we're conducting
1441 with SBCCOM and I guess the one point that we would like to
1442 make as part of this discussion is that for NIOSH this is an
1443 evolutionary step in our organization as far as using another
1444 agency such as SBCCOM as our test agent and as Wayne had
1445 stated in his presentation that you know we have gone through
1446 a rigorous process and ensuring that the criteria are met and
1447 repeated for all the testing. But the second part of Wayne's
1448 presentation is going to be discussing the other aspects of
1449 the special test for which SBCCOM is our test agent and that's
1450 the laboratory respiratory protection level or the LRPL
1451 testing and again Wayne will discuss how SBCCOM does the
1452 testing in their approach and what they seen with testing the
1453 escape hooded devices and Les will discuss the requirements as
1454 we currently envision them for the testing.

1455 **WAYNE DAVIS:** John said we'll talk about the laboratory
1456 respirator protection level testing. The purpose of the LRPL
1457 is shown here basically to evaluate the respirator protective

1458 equipment for military and civilian applications requiring
1459 protection against NBC warfare agents. We have various test
1460 standards. We've been doing this test since 1992. We
1461 developed a test method with Los Alamos. It's been approved
1462 for the joint services. It's been approved also with NIOSH
1463 for the SCBA testing as well as for the APR testing that we're
1464 doing so it has quite a history behind it. This shows our
1465 LRPL test chamber. It's about 10 by 10 by 30 feet roughly.
1466 We have enough room in there for 16 subjects at a time, but
1467 normally test no more than 12 at a time. We use a real light
1468 scattering photometers to detect the aerosol and we can
1469 measure protection factors up to about 100,000 using this
1470 instrumentation and it's a real time measurement. This shows
1471 actually what a test looks like. Essentially we have our test
1472 chamber. We fill it up with corn oil aerosol, monitor the
1473 people inside. We have test monitors that watch the test
1474 subjects to make sure that they're performing the tasks as
1475 they've been taught to do. Also to notice . . . any problems
1476 associated with conducting the test itself.

1477 The aerosol challenge is corn oil. The concentration you
1478 can see there. The particle size is about .4 to .6 microns
1479 measured regularly to make sure we maintain that kind of
1480 standard. The temperature control inside the chamber is
1481 actually 70 to 90 °F. Humidity we keep it around 20 to

1482 25 percent. A lot of people ask why do you test at this
1483 particle size you test at and the reason is the threat size
1484 for generally biologicals runs into the 1 or 7 to 8 micron
1485 range. There's various reasons for that. That's the best
1486 lung retention. That's what generally you would find among
1487 the various countries in the world. Viruses run smaller than
1488 that, but generally it's very difficult to disperse a virus as
1489 a single particle in the size range as shown. Also if you
1490 remember how HEPA paper works, the most penetrating size
1491 particle is somewhere between .1 and .2 microns. Efficiency
1492 increases both above and below that point so you're getting
1493 about 99.97 percent efficiency at about .3 microns. That's a
1494 very conservative test we feel and does demonstrate that you
1495 get adequate biological and particulate protection.

1496 The protection level itself is an expression of the
1497 outside concentration over the inside concentration. It's
1498 a . . . as you can see in the example, you have 1,000 ppm
1499 outside and 1 ppm inside, you would have a protection level of
1500 1,000. When I report the data, we'll be going over some of
1501 that. For the exercise routine, it varies depending on the
1502 agency that comes in and asks for the testing. Basically
1503 these are the normal type exercises that are done inside the
1504 chamber. We've also done lots of different type exercises to
1505 simulate specific military conditions or civilian conditions.

1506 Generally we would run these exercises for 1 minute duration.
1507 Well we also have done different tests such as sweat testing
1508 we call it where we actually run some fairly hard exercises
1509 over a duration of about 30 minutes just to see what happens
1510 under different conditions, but we found that generally just
1511 going through the standard head motions shows the same type of
1512 information that we get by going through some other rigorous
1513 type physical activities.

1514 Now I'm going to go into some actual data that we
1515 generated on some of the commercially available hoods. The
1516 charts are all basically the same although the format looks a
1517 little bit different. On the left, you'll see the protection
1518 factor in terms of specific bands that we try to report it in.
1519 The frequency just tells how many people out of the total
1520 number of subjects fell into what band. The cumulative
1521 percent that shows a percentage of people that were in that
1522 particular band and the past percent shows a percentage that
1523 were higher than that particular band. Generally we look for
1524 a 95 percent point. That's what we'd like to see for a
1525 protection level requirement. You can see in the one test
1526 500 pf was roughly what 95 percent of the people received. I
1527 might point out that all the data that I'm going to show you
1528 here is for a hood with a one-size-fits-all type neck dam.
1529 The other test on the right-hand side you can see I've

1530 highlighted a 93 percent so somewhere between 500 and 1,000 is
1531 what the pf would be on that particular hood system. You can
1532 see the total number of people. The first one had
1533 48 subjects; the second one had 96 subjects. That often
1534 depends on how much somebody is willing to pay to do the test
1535 and how many subjects we have.

1536 Here's some other; here's two other samples. This
1537 particular one shows that the one on the left anyway we didn't
1538 have very good protection factors. You can see that only
1539 83 percent of the people got above a pf of 10. Nobody got
1540 above a pf of 1,000. On the one on the right-hand side, you
1541 can see that 95 percent got a pf greater than 2,000 and this
1542 all has to do with the design basically of the neck dam in
1543 most cases but there also can be other leakage points within
1544 the system that we found during testing.

1545 We also test. This is one mask shown with a hood sample
1546 as well as an oral and nasal sample. Generally there are some
1547 small differences between the two. You can see with the hood
1548 sample on this particular one 93 percent of the people got
1549 over 5,000 and on the oral and nasal sample, 97 percent of the
1550 people got over 5,000. So this shows an example of one hood
1551 system that provides a very high LRPL sample.

1552 Summary results though are basically escape hoods are
1553 capable of fairly high LRPL levels values of 500 that look

1554 like they're readily met and greater utilizing the one-size-
1555 fits-all neck dam. So I think the baseline data shows that
1556 we're in the ballpark in terms of what kind of protection
1557 factor we can expect with hood systems. Are there any
1558 questions? Okay, thank you.

1559 **JONATHAN SZALAJDA:** Again, you know we're translating and
1560 building the requirements and building on the experiences that
1561 we gained as part of the other programs and I think that this
1562 chart basically covers the topics that Wayne addressed in
1563 terms of actual aerosol and the particle size. In addressing
1564 the escape respirator, it's a unique concept in terms of how
1565 we ensure a proper fit for between the respirator and the
1566 individual wearing it and in looking at the population and
1567 trying to consider the audience the user community that would
1568 be using this to try and come up with a mechanism to ensure
1569 that we can fit the population that would potentially require
1570 the use of a respirator and to that end, we fall back on
1571 addressing anthropometrics. And with a traditional gas mask
1572 type of approach, there's a lot of data, Los Alamos data that
1573 approach that we've addressed to try to come up with different
1574 cells and anthropometrics and fit of the respirator to the
1575 face. But with the concerns as far as having an untrained
1576 population trying to use this type of device whether or not
1577 you know trying to design the parameters for the system around

1578 the traditional methodology there, we had some concerns about
1579 how to accomplish that and in looking at a hood type of device
1580 and the physical parameters between the head, the neck, and
1581 the face length seem to pretty well identify and break down
1582 along the traditional small, medium, and large sizes that
1583 could potentially be used to fit an individual to a
1584 respirator.

1585 And to that extent, we fell back and found a fairly
1586 amount of good data that was used by the Air Force in terms of
1587 developing a data base for the anthropometrics associated with
1588 the hooded type of device. And we built a test matrix based
1589 upon those requirements that were identified in that
1590 anthropometric data base to encompass small, medium, and large
1591 sizes with really the critical dimension being the neck
1592 circumference and with a lot of the issues associated with
1593 wearing a hood and providing a good seal between the wearer
1594 and the respirator that there may be some potential benefit to
1595 having a size a tariff-type matrix to address the potential
1596 needs of the user community. Again in terms of actually
1597 conducting the tests, we conceptually view or envision right
1598 now is to conduct samples at least five samples per each cell
1599 and use that as the basis for the conduct of the test. Does
1600 anybody have any questions?

1601 **JAY PARKER:** Jay Parker with the Bullard Company, I have
1602 a question getting back to the requirements of the test. I
1603 see that in Wayne's paper he was using the 5th percentile what
1604 I normally call the 5th percentile or the 95th percentile;
1605 however, the draft does not state that it just states the
1606 measure LRPL shall be 2,000. And that also brings another
1607 question to mind and that is that Wayne's paper stated that
1608 the hoods are capable of 500, but these standards requiring
1609 2,000 so I was wondering why NIOSH has gone with 2,000 whereas
1610 the baseline data indicated lower results.

1611 **LES BOORD:** Concerning the 2,000, we'll do it in reverse
1612 order. I think some of it and this is one of the areas that
1613 we need to stress that we still are developing and refining
1614 the requirement. Some of the data that Wayne had mentioned
1615 did show values I think as high as 5,000 so while the
1616 predominance of the data on a one-size-fits-all would indicate
1617 the 500. There is data that supports a higher level as well.
1618 So that sort of goes down the line in the direction of 2,000.
1619 The other area and the other aspect that we're still under
1620 review and considering is the concept of the one-size-fits-
1621 all, right now the concept is noncommittal in that regard. So
1622 there may be actually some advantages that can be realized by
1623 not employing a one-size-fits-all type of a hooded respirator

1624 so that's relative to the order of magnitude. And what was
1625 the second question?

1626 **JAY PARKER:** About the 5th percentile versus the average
1627 or --

1628 **LES BOORD:** Again, this is part of the development
1629 process, okay. As we investigate this area more, we will
1630 define what that percentile is. Any others?

1631 **IRA GURVITCH:** Ira Gurvitch from I.B.N. Protection
1632 Products. Why does the standard have to be a hood if you have
1633 a one-size-fits-all mask?

1634 **LES BOORD:** One of the requirements and I think that
1635 stated in the concept or one of the concept principles is that
1636 it needs to be a head covering so that you are providing both
1637 eye protection and head covering from the chemical warfare
1638 agents.

1639 **IRA GURVITCH:** Why the head covering? I mean, I've heard
1640 that the layer of the skin on the head is thinner than on
1641 different parts of the body, but right now you have the
1642 general gas mask, you don't have a head covering?

1643 **LES BOORD:** Yes, the concept is we are talking about an
1644 escape respirator that you're putting it on. The person
1645 really doesn't have any other personal protective equipment to
1646 use in this type of environment. The escape respirator is the
1647 unit that's carrying him out or carrying him to the safe zone.

1648 So it's the feeling conceptually that the need for a head
1649 covering is there for head protection.

1650 **IRA GURVITCH:** But I'll go back to the APR's, there's no
1651 head covering there and today policemen are walking around
1652 with gas masks on their side and they put it on. They don't
1653 have an MBC suit or something to cover their head.

1654 **LES BOORD:** Again, good comment, but again, people who
1655 are working with an APR in a work environment, they're
1656 typically . . . they have the ability to use additional
1657 personal protective equipment at the time so it's . . .

1658 **IRA GURVITCH:** Policemen out in the street or civilian in
1659 an office . . .?

1660 **LES BOORD:** Good point. I would suggest that you can
1661 document these and send it into the docket because it may be
1662 something we need to consider.

1663 **IRA GURVITCH:** Thank you. I have.

1664 **(Unidentified Speaker):** If you're talking about the CBRN
1665 APR, one of the conditions of use, excuse me.

1666 **IRA GURVITCH:** What are those initials? I am not
1667 familiar with those.

1668 **(Unidentified Speaker):** Are you talking about a regular
1669 gas mask?

1670 **IRA GURVITCH:** I'm just . . . I'm comparing it to that.
1671 Why does it have to be more stringent here than it does for a
1672 conventional gas mask for a head covering?

1673 **(Unidentified Speaker):** Our standard is addressing the
1674 chemical warfare agents, blistering agents, and you know we're
1675 doing the permeation and penetration so on the CBRN air-
1676 purifying respirator gas mask, there's a condition of use
1677 which says use appropriate dermal protection before entering
1678 the area. With the escape mask . . .

1679 **IRA GURVITCH:** But that's not the real world, what's
1680 going on. I mean take a look at the New York City police.
1681 They're walking around with a gas mask on their side and they
1682 have no head covering and the same thing, forgive me, but Les,
1683 you're original concept was for inhalation hazards. I
1684 remember your original concept on there. So I'm saying what
1685 does that have to do with the head and if you're talking about
1686 a low concentration for 15 or 30 minutes and you have a one-
1687 size-fits-all mask, to me that's a lot more . . .

1688 **LES BOORD:** Again, the concept is and the concept
1689 requirement is to provide the protection to the eyes and to
1690 the head. That is the concept requirement. I think your
1691 point is a good point and I think, I mean we can't really
1692 debate it in this forum.

1693 **IRA GURVITCH:** I understand. I'm just trying to bring it
1694 up so I was . . .

1695 **LES BOORD:** That's good. I think what I would suggest
1696 is, as with everybody else, the comments that are being made
1697 here are being transcribed and recorded, but I would encourage
1698 you to submit that to the docket as well so it can be part of
1699 the decision process in constructing our final requirements.

1700 **IRA GURVITCH:** Okay, because also within a mask versus a
1701 hood, at least I know with my mask, it's a lot easier to put
1702 on than a hood and a lot quicker and a lot smaller and a lot
1703 lighter in weight. I'm just saying those are all important
1704 factors that have to be weighed in this type of thing.

1705 **LES BOORD:** Sure, sure. Thank you.

1706 **RANDY SAKOWITZ:** My name is Randy Sakowitz. I'm from
1707 TeleScience International. When you talk about one-size-fits-
1708 all, is that in terms of adult or is that children or is that
1709 both?

1710 **LES BOORD:** Primarily we're talking about escape
1711 respirators that are for the general working population so I
1712 think by that definition, you would be looking at an adult
1713 population.

1714 **RANDY SAKOWITZ:** So these standards aren't in regards to
1715 children.

1716 **LES BOORD:** No. Again, it's for the general working
1717 population.

1718 **RANDY SAKOWITZ:** Okay, thank you.

1719 **LES BOORD:** Any other questions? I think we are running
1720 a little behind, but would really like to try and finish the
1721 morning segment of the presentation. So . . .

1722 **JONATHAN SZALAJDA:** Okay, to that extent, we're going to
1723 move ahead and discuss some of the human factors and the
1724 environmental conditioning aspects as well as some of the
1725 engineering design parameters that we're considering for this
1726 type of device. The next presenter is going to be Frank Palya
1727 from Policy and Standards Group within NPPTL.

1728 **FRANK PALYA:** Thank you John and good morning, barely. I
1729 am going to present the concept for the human factor
1730 requirements for the escape respirator. As you can see, it's
1731 the field of view, fogging, and communications.

1732 The first one that I'm going to discuss is the field of
1733 view requirement. In order for the escape respirator to pass
1734 the field of view requirement, it must obtain a visual field
1735 score of greater than or equal to 70. An apertometer that
1736 meets the requirements of the EN 136 or equivalent will be
1737 used to perform the field of view test. And the respirator
1738 size that anatomically best fits the head form will be used.
1739 Again, we're talking about the concept of one-size-fits-all

1740 and that will be the official visual field score will be the
1741 average of three different fittings too. This 70 points was
1742 derived from the AMA guidelines, the functional impact which
1743 basically translates to a mild visual impact when you obtain a
1744 score of 70 which basically means they require scanning for
1745 some of the obstacles. This is the same test that we use to
1746 test a full face piece gas mask respirator. It was set at 75.
1747 This one is set at 70.

1748 On the left part of the slide illustrates is an
1749 illustration of the apertometer that will be used for the
1750 field of view test. On the right side is the field of vision
1751 plotting chart. Both of these, if you look at it, has the
1752 same skill and the grid on the right here assigns a 110 points
1753 to a field of view within the radius of 70. 50 points are
1754 assigned to this same area up to 10 degrees fixation.

1755 There are 36 meridians . . . this thing has a hair
1756 trigger . . . I was trying to point on there but it wasn't
1757 working . . . place at the top. If you look at the different
1758 meridians, there's 36 of them basically at the 10-, 20-, 30-,
1759 40-degree mark and so on around the entire circle there and
1760 the radius's are, again as I said, at the 70-degree mark
1761 radius and the 10-degree mark at the . . . there are 50 points
1762 assigned to the central area and basically what this does is
1763 that it assigns a high priority to the center area as opposed

1764 to the outer periphery. As you can see on the hemisphere
1765 there, the apertometer hemisphere, it's a dome shape and
1766 again, the center has a higher priority and the points are
1767 assigned there at 25, 55, 120 as you can see all around. And
1768 how this works is when you put the respirator on the head form
1769 and you illuminate the lights, the lights will shine through
1770 the lenses of the respirator and it will illuminate around the
1771 area. It'll create a lighted area and then what you basically
1772 do is count the points inside the lit area and that'll be your
1773 score. Go ahead Les. This is an example of such. As you can
1774 see in the upper right quadrant, this area has a value of
1775 22 points. The left upper 22 again, lower left is 27, and
1776 lower right 25. This particular fit, this is fit 1 of
1777 respirator 1, you got a score of 96.

1778 This slide illustrates the field of view scores for some
1779 of the escape respirators. As you can see, there's different
1780 fits and then to average at the end and that will be the
1781 official field of view score.

1782 The next human factor requirement that I'm going to
1783 discuss is the fogging resistance. The fogging resistance
1784 will be tested in two environmental conditions. The low-
1785 temperature one will be at 13 °F. It was based on the normal
1786 daily mean temperature of Minneapolis, Minnesota, in January.
1787 January was selected because it was the coldest month of the

1788 year on record for the past 30 years. The data was obtained
1789 from the National Oceanic and Atmosphere Administration
1790 (NOAA). The next environmental condition is the hot humid
1791 condition. It will be set at 90 °F at a 60-percent relative
1792 humidity. Miami, Florida, was used as the base city because
1793 it's one of the hottest and most humid cities in the United
1794 States. The data was also obtained from NOAA and the month of
1795 July was used.

1796 There will be three visual acuity tests administered.
1797 The human subject will don the mask in atmospheric conditions.
1798 A visual acuity test will be administered and will then be
1799 administered as soon as they enter into one of the
1800 environmental chambers whether it be the hot or be the cold.
1801 The Snellen chart will be used to test for visual acuity.
1802 Then there'll be a 5-minute exercise period and then during a
1803 2-minute rest period another visual acuity test will be
1804 administered. The procedures . . . you're probably wondering
1805 why well geez* why are they going and donning an ambient and
1806 then going into an environmental chamber? And what this is
1807 suppose to replicate is if an office worker or somebody
1808 indoors in ambient condition dons the respirator and runs out
1809 into the outdoor conditions, we want to make sure that this
1810 doesn't . . . they'll fog up when they go from ambient to
1811 extreme cold or ambient to very hot humid conditions. I think

1812 that would create another hazard if they would go ahead there
1813 inside the mat, respirator where they cannot remove it and
1814 then it would create a physical hazard by not being able to
1815 see.

1816 There will be two different human subjects tested for an
1817 environmental condition; however, the same human subject will
1818 be used for hot and then also for cold. For each individual,
1819 an average of visual acuity score chamber will be calculated
1820 and that's basically the first reading plus the second reading
1821 divided by two. And then to get the performance rating, what
1822 you basically do is to take the average visual acuity in the
1823 chamber and divide it by the ambient and multiply it by 100.
1824 In order for the escape respirator to pass the fogging
1825 requirement, all four performance ratings must be greater than
1826 or equal to 70.

1827 This slide illustrates some of the models that were
1828 tested for fogging. As you can see -- I'm sorry this one
1829 right here is for the cold chamber. The average ambient
1830 temperature test was 75.8 degrees. It was a little bit higher
1831 than what we wanted, but it successfully passed. All the
1832 models passed the requirement for the cold chamber. Next was
1833 the hot humid. As you can see, model P did not pass for this
1834 particular test. If you look at the ambient, again it was in
1835 the afternoon when we started testing and we didn't have

1836 the . . . the air conditioner wasn't functioning so it got a
1837 little bit higher. The data may have been worse, it may have
1838 been worse, if it was a little closer to 72 degrees.

1839 The final human factor requirement that I'm going to
1840 discuss is the communications requirement. The communications
1841 speech and intelligence capability of an escape respirator is
1842 an optional feature. We look at it as a market-driven
1843 feature. The communications conveyance is not a mandatory
1844 requirement like I said so it'll be up to the manufacturers.
1845 The manufacturer wants the respirator to be NIOSH qualified
1846 for communication. They'll request NIOSH to do the testing
1847 for it and they'll be denoted on the NIOSH approval if it
1848 passes the requirement. Again, the requirement should be
1849 greater than or equal to 70 percent.

1850 The test method that will be used to test the
1851 communication performance requirement of the escape respirator
1852 will be the Modified Rhyme Test. This is the same test and
1853 test requirement as the CBRN gas mask. The background will be
1854 set at 60 decibels consisting of a broadband pink noise with a
1855 frequency range of 20 hertz to 50 kilohertz. The distance
1856 between the speaker and the listener will be 10 feet. There
1857 shall be 10 MRT's trials conducted yielding 15 MRT scores per
1858 listener with respirator and without respirator, 15 without.
1859 Enclosed are some of the MRT test results of the three

1860 respirators that NIOSH obtained. As you can see, none
1861 successfully passed the requirement. And also I may note that
1862 none of the respirators has any voice conveyance mechanism
1863 incorporated into the design.

1864 In summary, enclosed are the requirements for NIOSH
1865 escape respirator requirement for the human factors. At this
1866 time, I'll answer any questions.

1867 If not, I'd like to move on to the environmental
1868 durability challenge test. I'd like to go . . . briefly go
1869 over the overview. I want to discuss the purpose of the test,
1870 the goal, the general assumptions, type of durability tests,
1871 assumptions for the test, and the rationale. The purpose of
1872 the test is to ensure that integrity is integral to design and
1873 packaging of the escape respirator. Basically because it's
1874 almost a one-time use situation that's in a self-contained
1875 packaging, the packaging would be inspected but think about
1876 it, the respirator really can't go through its inspection such
1877 as a full-face air-purifying respirator. The goal is to
1878 ensure that the escape respirators provide adequate
1879 respiratory protection after being subject to potential
1880 environmental and normal transportation storage conditions
1881 induced by the user. Again we're not testing the
1882 manufacturers' over pack or trying to replicate any kind of
1883 shipping, but this is from the point of issue.

1884 General assumption is that again it's from the point of
1885 issue by the user. The CBRN escape respirator will be
1886 subjected to the durability test and the ready-to-use
1887 configuration to individual unit pack. The assumption is that
1888 it will remain sealed. And also it's for a non-industrial use
1889 scenario for CBRN emergency use only. First the escape
1890 respirator will be subjected to high temperature at 71 °C
1891 constant temperature in accordance with MIL-STD-810F. The
1892 duration will be for 5 weeks. The reason for this testing is
1893 to simulate solar loading conditions representative of
1894 climates in the southwest U.S. Again, the rationale is that
1895 we went ahead and looked at the meteorology data from Phoenix,
1896 Arizona, from the Arizona State University and from NOAA. We
1897 also factored in an inducement factor from MIL-STD-810F. This
1898 also takes into account induced temperature and aging testing
1899 of the respirator. After the high-temperature test, the
1900 respirator will be subject to the low temperature of -31 °C.
1901 Again, this will be constant in accordance with MIL-STD-810F.
1902 The duration will be for 3 days. It simulates the outdoor
1903 storage temperature in the basic cold regions. The 3 days was
1904 chosen because it's representative of minimum temperature in
1905 the U.S. intermediate zones and also the duration is what
1906 MIL-STD-810 recommends.

1907 The third and last environmental test condition is the
1908 humidity storage test. The escape respirator will be subject
1909 to a natural diurnal humidity cycle which varies from 31 °C to
1910 41 °C. Also the relative humidity is 88 percent to 59 percent
1911 and that's also in accordance with MIL-STD-810F. It's for a
1912 5-day quick look and it's representative of again humid
1913 regions, also, again, such as Miami, Florida, and also again
1914 the rationale for the 5-day quick look is what MIL-STD-810
1915 recommends.

1916 The next test for durability is the transportation shock
1917 test which consists of vibrating the escape respirator in
1918 accordance with MIL-STD-810F. Again, this is similar to the
1919 CBRN gas mask, full-face gas mask. The respirator will be
1920 vibrated on three axes for 12 hours per axis. This vibration
1921 test is the same, again, it's the same vibration test as the
1922 gas mask. This replicates conditions over the U.S. highways
1923 in a vehicle and we're doing this test to determine if there's
1924 any initial life-cycle failures. This chart illustrates the
1925 three axes of vibration.

1926 And the last durability test is the drop test. The
1927 intent is to drop the escape respirator from a height of
1928 3 feet onto each of the three different axes. There'll be one
1929 drop per axis totaling three drops. One impact surface per
1930 axis and this is to replicate several falls from a table or an

1931 automobile over the life time of the respirator. Again, this
1932 is incorporated into the requirement as to ensure that there's
1933 integrity built into design factoring of the respirator. This
1934 is the axis of the drop, again, I'm just emphasizing that it's
1935 just only one . . . it will be dropped on one surface of the
1936 axes totaling three.

1937 In summary, this is all the durability test requirements
1938 for the escape respirator. The very first one will be hot,
1939 cold, humidity, and on down to drop. At this time, I'll
1940 answer any of your questions.

1941 **JOE DUNLAP:** Joe Dunlap with ILC Dover, what is going to
1942 be the acceptance criteria after these conditioning tests are
1943 run? Are you going to use the laboratory respiratory
1944 protection level as your acceptance criteria? Are you going
1945 to be using SMARTMAN or some other standard?

1946 **FRANK PALYA:** Well, as it was with the CBRN APR, we went
1947 ahead there and . . . I mean . . . We would look at the
1948 packaging and it would be denoted in the test report but then
1949 it would go through a series of gas life testing, pressure
1950 drop testing, all the usual tests that NIOSH conducts,
1951 filtration testing. For the LRPL test, I believe that
1952 probably would be a different batch of respirators for that
1953 similar to the CBRN APR gas masks. There's a flow chart on
1954 the web site. Now that's just for the gas mask, illustrates

1955 the flow of the respirator that is brought in and what
1956 respirators are used for what testing. I would imagine this
1957 escape-type respirator will be pretty similar. Thank you.

1958 **JONATHAN SZALAJDA:** In an effort to get us pretty much on
1959 schedule or close to schedule, Les is going to wrap up this
1960 morning's session with some of the other design parameters
1961 that we're considering as far as concepts for the requirement.

1962 **LES BOORD:** Okay, this last section will be a little bit
1963 of a hodgepodge because we're in . . . run through the
1964 requirements for donning, flammability, the definition of the
1965 hood device, and breathing resistance. In the first slide is
1966 the requirement . . . flammability requirement or concept and
1967 again this is principally for the respirators and the hoods
1968 that would be used let's say in a fire-type environment so
1969 what we did was we actually looked to an existing standard, a
1970 draft standard which is the ANSI ICA air-purifying protective
1971 smoke escape hood device and also EN 136 test equipment where
1972 the respirator is exposed to an array of burners. The
1973 requirement there is that after the flame exposure, there is
1974 no after flame after 5 seconds and that no drip, melt, or
1975 other damage is visible to the respirator and primarily the
1976 hood. And again, understanding that again, this is in the
1977 concept stage and how we actually fit this requirement into
1978 the overall requirement still needs to be determined whether

1979 it is applicable to 100 percent of the devices or whether it's
1980 applicable to only those that achieve certain protections. So
1981 that's still an area that would need to be further developed.

1982 The next requirement and as it's defined in the concept
1983 paper we're looking at a donning time requirement and the
1984 donning time of 30 seconds which I think is again consistent
1985 with some of the other escape masks, escape respirators
1986 standards that are in existence or being worked on. And the
1987 donning time of 30 seconds from a ready-to-use configuration
1988 where ready-to-use is considered to be the operational
1989 package, the package that the user would be confronted with if
1990 he needs to employ the respirator. Again, as stated in the
1991 concept, the requirements would be for escape respirators
1992 rated at 15, 30, 45, or 60 minutes depending on how the
1993 manufacturers specifies it and again rated according to the
1994 gas capacity for the particular respirator so the gas life at
1995 the appropriate interval of time.

1996 And then, finally, to touch back on the head covering
1997 again that the concept currently is that the escape respirator
1998 is for a head covering shall be designed as a hooded device
1999 and the hood shall include an area for field of vision and
2000 compatible with wearing glasses. And again, I would encourage
2001 any comments relative to other alternatives to be provided to
2002 the docket so that they can be reviewed.

2003 Then the final requirement that I'd just like to mention
2004 is the breathing resistance which is again borrowed directly
2005 42 CFR and that requirement is stated at 85 liters per minute
2006 the inhalation resistance is less than 70, 70 mm of water and
2007 exhalation resistance is less than 20. And with that if
2008 there's any questions, any questions relative to those? So we
2009 managed --

2010 **LARS RONNER:** Lars Ronner from Sundstrom Safety coming
2011 back to flammability, did we talk about six-burner test or
2012 one-burner test?

2013 **LES BOORD:** I believe it's the six-burner test. That's
2014 the array of burners.

2015 **LARS RONNER:** Of course talking about 800 °C according to
2016 EN 136, then it's a one-flame burner test.

2017 **LES BOORD:** Okay, thank you.

2018 **ERIK JOHNSON:** Erik Johnson, 3M, you're comment that the
2019 flammability was definitely in the concept phase it might be
2020 only applied to some of the submissions. Was that for the
2021 submissions that would have the CO approval?

2022 **LES BOORD:** That would be the possibility, yes.

2023 **ERIK JOHNSON:** Okay.

2024 **LES BOORD:** So it may be you could actually segment these
2025 types of escape respirators by their protection.

2026 **ERIK JOHNSON:** Thank you.

2027 **JAY PARKER:** Jay Parker with Bullard, I would just add to
2028 this last statement here that it should also be compatible
2029 with beards as well as glasses.

2030 **LES BOORD:** Good point. Yeah, I think that's a very good
2031 point. When we think about a hooded respirator, a lot of
2032 times we sort of think that that bypasses some of the normal
2033 considerations that we need to address when using a face piece
2034 and that's not necessarily the case facial hair, glasses, and
2035 so forth still enter that equation. Any other questions or
2036 comments? Notice how quick that was. So with that, I think
2037 we'd like to adjourn for lunch. We have allowed 1 hour and I
2038 think we can stick to that. So . . .

2039 (BREAK)

2040 **JONATHAN SZALAJDA:** The chemical warfare agent simulant
2041 project as well as introducing concepts for the self-contained
2042 escape respirator and then we have some additional CBRN
2043 standards development topics which will be covered at the end
2044 of the day plus also any comments or information that you
2045 would like to share either with the standards development team
2046 or with the community as a whole. With the standards of
2047 development program, one of the things that we heard in
2048 responding to our stakeholders and the whole development
2049 process was a need for tools for the manufacturing community
2050 to allow pre-certification testing of their equipment against

2051 the chemical warfare agents that . . . You know, there aren't
2052 that many facilities available for evaluation of materials
2053 that could be used in the development and construction of the
2054 respirator to be tested against the penetration and permeation
2055 effects of the chemical warfare agents. So following the
2056 request from the manufacturers for us to look into the
2057 identification of potential simulants, NIOSH and SBCCOM sent
2058 out in collaboration to conduct a project to identify
2059 simulants for use by the manufacturing community and others to
2060 evaluate the materials for effects of penetration and
2061 permeation from different agents and what we're going to cover
2062 here for the next half hour or so is the current status of
2063 that work. Frank Palya is the NIOSH project officer who is
2064 managing the work. Dr. Rivin from SBCCOM at Natick or at
2065 least for today is the principal investigator who's conducting
2066 this effort. And what I would encourage you to do if you have
2067 some specific technical questions regarding the work if it's
2068 going to be getting to too much of a technical detail that
2069 Dr. Rivin will be making himself available to your questions
2070 and I would suggest if it's not something of a general nature,
2071 but if you wanted to get into more specific technical type
2072 discussion if you could catch him during the break or
2073 following the presentations at the end of the day. So with
2074 that Frank Palya will introduce the project.

2075 **FRANK PALYA:** Thank you John. As John had mentioned
2076 before that I am the project coordinator for the chemical
2077 warfare agent's simulant project and Dr. Rivin is the
2078 principal investigator. I'm going to discuss the need for the
2079 project and some of the administrative details. This project
2080 was funded by NIOSH and SBCCOM conducted the research and
2081 experiments at the Natick, Massachusetts, locations and at the
2082 Edgewood, Maryland, locations. For this project I want to
2083 make it clear to everyone that when we're talking about
2084 simulants we're talking about chemical compounds that have the
2085 same permeation and penetration effect on personal protection
2086 equipment varying materials as chemical warfare agents namely
2087 sarin GB and sulfur mustard HD.

2088 I would like to emphasize that actual chemical warfare
2089 agents will also be used during the testing for NIOSH
2090 certification of respirators. This project is to provide
2091 personal protection equipment manufacturers with information
2092 so they can select simulants to perform development at work or
2093 for doing some of the pre-testing before submitting their
2094 applications to NIOSH. For the overview, we'll present some
2095 background, the purpose and the objective, the permeation of
2096 the chemical warfare agent simulants, the goal or approach.
2097 Dr. Rivin will be talking about the technical details,

2098 potential benefits, accomplishments and current status of our
2099 research, and the summary and conclusions.

2100 The purpose is to identify through research and testing
2101 chemical compounds to simulate the permeation and penetration
2102 effects of GB and HD through barrier materials. The objective
2103 is to identify simulants and laboratory procedures that can be
2104 used by manufacturers for estimating breakthrough or
2105 permeation breakthrough times of the actual chemical warfare
2106 agents through materials used by the manufacturers for their
2107 personal protection equipment. In general, the breakthrough
2108 time is the time for a chemical to seep through the surface of
2109 a barrier material of the personal protective equipment such
2110 as in respirators or protective suits that are worn by the
2111 first-responder community.

2112 Our goal was to develop a low-cost, rapid simulant
2113 screening method for determining agent permeation through
2114 barrier materials. Again, the approach was to develop an
2115 inexpensive permeation test that can deploy a new test cell
2116 design that can accommodate both hard and soft barrier
2117 materials up to at least 1-cm thick. The manufacturers can
2118 use at their own convenience in their own research labs making
2119 it a lot available . . . availability to them would certainly
2120 reduce the cost. Selects relatively non-toxic simulants for
2121 GB and HD based on solubility of the standard polymers. Tests

2122 the performance of certain barrier materials by comparing
2123 their breakthrough times when exposed to the actual chemical
2124 warfare agents in other words just compare the agents
2125 for . . . the permeation times of the actual chemical warfare
2126 agents versus simulants.

2127 At this point, I'd like to call upon Dr. Rivin to discuss
2128 the technical details.

2129 **DONALD RIVIN:** Thank you Frank. Before I start, let me
2130 just add that as Frank mentioned, this work was done both in
2131 Natick and Edgewood and there were co-investigators at
2132 Edgewood, Wendel Shuely and Bob Lindsay, and Wendel is here in
2133 the audience and sure you'll be willing to talk to everyone
2134 afterwards also.

2135 On this, we see the basic permeation system. I'll go
2136 into more detail of the cells shortly, but it's quite a simple
2137 system. The basic detector we've been using is a flame
2138 ionization detector. We tried other detectors too and any
2139 detector that is sensitive enough for the vapor concentrations
2140 that we experienced would be okay. And we're dealing with
2141 high vapor concentrations and the reason for that is that we
2142 have chosen to use a fully wetted specimen rather than drops.
2143 There are theoretical reasons to do this. There is a direct
2144 relationship between how the materials perform with the drop
2145 challenge and a fully wetted surface challenge, but you get

2146 much higher permeation rates. It's much faster permeation.
2147 It's much simpler test when you run it with the fully wetted
2148 surface. I won't go into more detail about the system. You
2149 can ask questions later. It's as I say relatively simple.

2150 The cell is composed of two major parts: the bottom
2151 section which has the gas flow below the specimen. The
2152 specimen sits on a little platform in that specimen . . . in
2153 that cell. There is where the air fluent is swept into the
2154 detector. The top part is the section which screws into the
2155 bottom section and it creates the liquid well. We can see
2156 that much better in the next slide. Here are two views of the
2157 cell. The first are the two major components, the top and
2158 bottom of the cell. Upper left-hand corner is the top of the
2159 cell and we have a quick connect gas connection on there;
2160 that's on the left-hand side. Relatively simple, it has
2161 grooved sides and the top section which is directly below it
2162 has a screw on it and it just screws in. However, you first
2163 put the specimen in. The specimen is a 1½-inch diameter disk.
2164 The actual size is not critical. It has to be large enough to
2165 cover that hole and this is about three-quarters of the
2166 available surface at the bottom of the base of the cell. You
2167 put the specimen in and the Teflon O-ring or gasket which is
2168 shown on the right sits on top of that specimen. The Teflon
2169 is there so that when one screws in the top part, there's no

2170 movement of the sample which is underneath. And on the right-
2171 hand side, you see the arrangement that's the bottom of the
2172 cell with the specimen in there, the Teflon O-ring, and the
2173 top part screws in. Sitting on top of this is a loose fitting
2174 cap that's just to limit the evaporation of the liquid. You
2175 don't want it to be very tight because you don't want to
2176 create a vacuum up there as liquid permeates through. We find
2177 that there is very little evaporation of liquid through the
2178 top of the cell.

2179 Now, using the cell, we now have to find what are the
2180 best simulants to use with the agents. And to do that we
2181 first selected test materials and we decided to use
2182 representatives of a series of well-characterized elastomer
2183 samples which covered a range of barrier properties. We did
2184 initially emergent testing with these samples to determine
2185 relative rates of uptake and solubilities and from this we
2186 selected four samples, four rubbers. Three of them we did
2187 permeation testing with both agents and simulants as well as
2188 emergent testing. One of them which is nitrile rubber we did
2189 simulant work and emergent testing. We had not yet done agent
2190 testing on that. So when I show you the results later, most
2191 of what you will see would be these three elastomers with
2192 different agents and simulants and there'll be some results
2193 with the nitrile also. As far as the simulant, before we get

2194 to that, the question, what we're really after is how good of
2195 a barrier material do we have so this is a permeability
2196 question. Permeability is the product of the diffusion
2197 coefficient or diffusivity, the solubility and it's inversely
2198 proportional to the thickness. Diffusion coefficient is
2199 mainly a function of the molecular cross section or volume so
2200 a molecule which is much larger than another molecule will
2201 diffuse more slowly. Solubility, however, is controlled by
2202 factors which are much more complex than just the size of the
2203 molecule. They have to do with the specific chemical
2204 interaction which shows up in the solubility. So here you
2205 want to be able to pick a simulant which has similar chemical
2206 interaction to the agents in a variety of different materials.
2207 If you don't do that, you end up showing an excellent
2208 correlation in one particular barrier material and a
2209 completely different relationship in another. So that's why
2210 we did our preliminary evaluation doing emergent testing which
2211 allowed us to both get a measure of the diffusion coefficient
2212 and also the solubility.

2213 I don't want to leave thickness out because that's
2214 critical. If you are using permeation, let's say the steady-
2215 state permeation as a criterion, then your permeation is
2216 inversely proportional to thickness. If you're using break
2217 time or other time characteristics which I will talk about a

2218 little more in the future and later on, then it's proportional
2219 to the square inverse square of the thickness. So thickness
2220 is a very, very important parameter here and you have to
2221 correct for that in order to compare materials.

2222 Now we looked at a relatively large number of simulants
2223 candidates, actually more than I have on this table here, but
2224 we did the most work with the ones that you see here. And
2225 those four which have an asterisk are the ones that we decided
2226 to go ahead with the more intensive permeation studies with.
2227 So what you will see from this point on are data with these
2228 four simulants. I have them broken down here as HD simulants
2229 and GB simulants because everyone always talks about simulants
2230 particular agents. When I go farther on, you're going to see
2231 that there's a fallacy here. Now this is a permeation curves.
2232 One of the things we did was we chose the thickness of the
2233 elastomer to compensate the differences in barrier properties.
2234 We didn't want to do these experiments with one elastomer,
2235 let's say silicon rubber, which is a relatively poor barrier
2236 so that we would get an experiment which took place in 2 hours
2237 with the particular thickness and then ran with butyl rubber
2238 and got it, took place in 4 days. So what we did was knowing
2239 what the properties of these materials were, based on the
2240 emergent results, we could select thicknesses of these
2241 polymers so that we would get results within let's say a

2242 factor of two or three in terms of overall time of the
2243 experiment. This doesn't in anyway affect the overall result.
2244 It just makes it a more convenient experiment. So here we
2245 have results with three different polymers and three different
2246 simulants. You get an idea of what the curve shape looks
2247 like. Now we use these curve shapes to determine
2248 characteristic parameters and that's as we see here. Now
2249 there are three of these characteristic parameters that really
2250 define the permeation curve.

2251 First is this T_b which is the time at initial break. Now
2252 you will often see break time of values and very often what's
2253 meant by that is the time at which people first detect some
2254 air fluent coming through. This is of course very sensitive
2255 to the method of detection. The T_b value here is one based
2256 more closely to theoretical . . . on theoretical grounds and
2257 it's the intercept of the linear portion of the curve. If you
2258 notice this "S" shaped curve the lower portion of it is
2259 linear. And you take the intercept on the background time
2260 axis and see there's a slight positive background in this you
2261 take that intercept that's T_b . For the agent work we measured
2262 both the first detection of penetration and T_b and, in fact
2263 for most of the cases, they were the same or within few
2264 percent. In the case of silicone there was some detection of
2265 small amounts of material before you came to T_b , but it did

2266 not affect the overall results. $T_{1/2}$ is the time at which
2267 you reach one-half of a total permeation. This again is
2268 related on theoretical grounds with the fusion coefficient.
2269 So both T_b and $T_{1/2}$ you can calculate the fusion coefficient
2270 from these which are completely independent of solubility of
2271 the chemical interaction. That's very important because in
2272 order to check the validity of our results it's nice to have
2273 some independent methods of comparison. And what we did was
2274 we compared the diffusion coefficients that we obtained from
2275 T_b and $T_{1/2}$. Which again, are independent of solubility, or
2276 any of these factors, to the diffusion coefficient that we
2277 obtained at the third characteristic parameter, that is the
2278 steady-state permeation value. And that requires, as you saw
2279 from the equation previously, knowledge of solubility, as well
2280 as the thickness. So if one can obtain a diffusion
2281 coefficient at steady-state, which is not too different from
2282 what you obtain at $T_{1/2}$ and T_b then you have an internal
2283 check that you have meaningful data here. We also included a
2284 diffusion coefficient obtained from the emergent work, which
2285 again is a completely different experiment. That checked out
2286 pretty well with these results. I have one other parameter
2287 here the T_s , this has no theoretical significance, and it's
2288 simply there, as an indication of how long the experiment is.
2289 We now have a final report on this. We list T_s data so one

2290 has an idea of how long it takes to reach a steady-state. So
2291 you have some idea what the total experiment time is. T_b and
2292 $T_{1/2}$ are fundamental and what I'm showing on this graph is
2293 the normalized T_b and $T_{1/2}$. Mentioned earlier that you can
2294 calculate diffusion coefficient but the diffusion coefficient
2295 is related to these times and the square -- inverse square of
2296 the thickness. What this is is we've taken these times, T_b
2297 and $T_{1/2}$, and divided by the square of the specimen thickness.
2298 What we see on the bottom, I hope you can see it all, are
2299 three polymers, starting with Silicon, we show the 2 agents,
2300 HD and GB and the four simulants. The left-hand block is T_b
2301 and next to it is $T_{1/2}$. First thing I'd like you to see is
2302 the general fingerprint that you get. There are some
2303 differences in these polymers and they are not terribly
2304 different. The biggest change we have, the biggest range we
2305 have is in the case of butyl, which is the middle set of the
2306 graph and that has about a factor of 8 between the highest and
2307 lowest normalized diffusion parameter, characteristic time.
2308 In the case of Silicon and EPDM it's much smaller. Also
2309 notice, the relationship of HD and GB, they're not very
2310 different. In the most extreme case is about a factor of 2
2311 difference, in many cases they're much closer than that. Also
2312 as I inferred before the question of what is a HD simulant or
2313 a GB simulant. Well the first two simulants, DCH and CEPS are

2314 presumably HD simulants and the next two are DIMP and DEMP are
2315 GB simulants or D agent simulants and you can see that there
2316 isn't much of a difference. So bottom line here is that you
2317 could chose any of these simulants and get a pretty good value
2318 for the data for most of the -- particularly in Silicon and
2319 EPDM. In the case of butyl, you can bracket the data you get
2320 with the agents with DCH and CEPS or DCH and DIMP. Again,
2321 within a factor of 2, you can do better if you develop a more
2322 extensive data base, you can do much better than that but it's
2323 not very hard to get that kind of agreement. Now there are
2324 other ways we can take this data and other criteria we could
2325 use for looking at the barrier properties. So this is one
2326 this is actually measured break time or half-time, let's limit
2327 it for simplicity to break time. Another method that's been
2328 used is the permeability. Permeability is simply the
2329 permeation divided by the thickness. As I mentioned earlier
2330 since thickness comes into it you can compare a 50 mil
2331 material with a 2 mil material and you don't know what their
2332 real characteristics are unless you correct for thickness.
2333 I've just said that, but I will go into the next exhibit and I
2334 will negate that. Right now looking at this, what we see on
2335 the left-hand side are the four polymers and the simulants and
2336 the agents. And you can see that silicone is such a . . . is
2337 such a poor barrier relative to the others that you can't tell

2338 very much about the other polymers if you put them on this
2339 scale because silicone dominates. But looking at the silicone
2340 results, what you see is that no matter what we're using as
2341 the permeable we're getting results which are pretty close.
2342 Again, this goes along with those kinetic parameters T_b and
2343 $T_{1/2}$. Permeability, of course, takes in both, the kinetic,
2344 the diffusion coefficient as well as, I believe, not much
2345 difference in the case of silicone. Now on the right-hand
2346 side I've eliminated silicone the same data that we see on the
2347 left with the scale now is expanded we've left out the
2348 silicone. Here you begin to see more of a difference between
2349 these polymers. On the right-hand side of that is the HD and
2350 GB and you can see again that you can pretty well bracket the
2351 HD and GB results with CEPS and DCH. As I mentioned earlier
2352 we have not run nitrile with the agents so I don't have a
2353 nitrile curve there. So you'll have to limit the comparison
2354 to EPDM and butyl on the right-hand side. Again, you can
2355 easily bracket them with these agents, or if you have again, a
2356 data base and in our report we have the data for these
2357 materials but if one has a more extensive data base one can
2358 easily develop pretty good correlations there. Finally, I
2359 said I'd negate my comments about thickness. Let's say you
2360 have an unknown material of whatever thickness and you wanted
2361 to say, well how does this compare as a barrier material to

2362 something else that we're using which we know is a good
2363 barrier. Now we know for example the butyl rubber is a good
2364 barrier, 12 mil butyl will pass the drop test, easily enough.
2365 And we could simply say let's take . . . this is our standard
2366 material and we run it with a particular simulant, let's say
2367 we ran it with dichlorohexane, DCH, which is the top line. If
2368 our unknown material, of whatever thickness gives us a
2369 permeation curve which is equal to or below this then that's
2370 as good a barrier as butyl, based on this kind of criteria.
2371 If we took DCH as the value, that's the line right near the
2372 bottom, the dark -- I'm colorblind I think that's blue -- line
2373 near the bottom. Then you would then relate that, if you had
2374 an unknown material that was much greater then it would not be
2375 as good a barrier. If it were equal to or less than this then
2376 you have a good barrier material. So this would be a way of
2377 using the test method and a simulant with an unknown material
2378 if you want to relate it to a standard material. So I've
2379 described three methods, I have not said use one or the other
2380 of these because I think there are considerations for both.
2381 There is a fourth method that could be used. You could say
2382 well we want to get a certain amount of permeation now in the
2383 drop methods they listed a certain permeation level which
2384 would be a pass or fail. You could do the same thing with
2385 this test. So again, the question of how to use it and what

2386 will be the easiest and most effective for you is something
2387 which you can be thinking about and we can talk about
2388 afterwards also.

2389 Just to summarize this, where we are now we have
2390 developed a permeation test method that we feel is quite
2391 reproducible and reliable. And as I mentioned it uses a fully
2392 flooded permeation cell, which gives you a maximum air fluent,
2393 which is again relatable to the smaller permeation you get
2394 with the drop loadings but allows you to use a variety of
2395 different kinds of detection equipment. I mentioned the flame
2396 ionization detector, in fact, we used a few of these, one of
2397 them was actually a gas chromatograph. We just bypassed the
2398 columns and just used the detector in the cell. I've given
2399 you a few different ways that you could use this data and
2400 we've shown some simulants, which we feel are pretty good ways
2401 of predicting how these materials will respond to an agent.
2402 We've written a paper on this and a test method, and this has
2403 been submitted to NIOSH. I'll leave it up to them to decide
2404 how they want to discuss that aspect of it. Now this is a
2405 method which was developed for correlating relatively nontoxic
2406 chemicals with agents, but as you can see the cell doesn't
2407 care and the detectors don't care what the toxicity of the
2408 material is. So this method is just as useful for TIM's and
2409 TIC's and whatever other chemicals you want. The only

2410 requirements that you would have on this is that the simulant
2411 you use doesn't dissolve the material. The test doesn't work,
2412 if it dissolves, and it doesn't attack the cell. The cell
2413 is . . . materials we used were stainless steel and aluminum
2414 alloy which we knew were stable and useable with agents and
2415 we've had no problem with them. How one uses this well as
2416 Frank mentioned it can be used as a preliminary test this is
2417 not part of a specification. This is not the final test you
2418 use but you can, I think, really characterize materials and
2419 determine whether something is likely to pass the agent
2420 testing by running a test like this. I've basically,
2421 summarized most of what we have here. At this stage we have,
2422 I think, a good method and we have statistical data over a
2423 range of materials which indicates the reliability of the
2424 method. There are additional things that we feel should be
2425 done; for example, we only have a limited range of polymers.
2426 Two of them are basically hydrocarbon polymers they were
2427 carbon black filled butyl and EPDM. One of them was a
2428 peroxide cured silicone which is more polymer -- more polar,
2429 excuse me, but we would like to extend this to a few more
2430 polymers to make sure that the relationships that we're seeing
2431 are durable. The test procedures are described in the draft
2432 we have given to NIOSH and the disclaimer is I guess you've
2433 seen that before. Thank you, that's it if there are any

2434 questions I'd be glad to answer them. Oh yes, if any of you
2435 would like to go into greater detail about specific aspects of
2436 this, more technical aspects and what have you Wendell and I
2437 are available to meet with you this afternoon.

2438 **BODO HIENS:** You said that these tests could be easily
2439 done by the manufacturer but we can't buy these warfare
2440 agents.

2441 **DONALD RIVIN:** Well that's the whole point. You don't
2442 have to use the warfare agents. What this is showing you is
2443 that you can use a nontoxic or relatively nontoxic liquid,
2444 some other simulant and get results which will predict what
2445 you should be getting with the warfare agent, within
2446 relatively close they're not exact but they are pretty close.
2447 Depending on how good a data base you've developed, you can
2448 get closer. If you want to run a range of liquids and compare
2449 it to a known material which has good properties with the
2450 warfare agent, you can actually get a very close correlation.
2451 (inaudible) Oh yes they're all, I got them from normal
2452 laboratory supply houses.

2453 **ZANE FRUND:** Zane Frund, MSA. Have you identified the
2454 fundamental -- you've showed empirical, you've shown data,
2455 fundamental reason why silicone is so much poorer than the
2456 butyl? Is it something related to solubility cross-linked
2457 density or glass transition temperature?

2458 **DONALD RIVIN:** Vaguely to the third it is due to the
2459 so-called free volume in the polymer.

2460 **ZANE FRUND:** Molecular free-volume, Okay.

2461 **DONALD RIVIN:** The packing in silicone it's pretty wide-
2462 packing and butyl is very tight.

2463 **ZANE FRUND:** Okay, thank you.

2464 **LES BOORD:** Okay what we'd like to do now is focus our
2465 attention on the self-contained escape respirator concept.
2466 I'll talk a little bit about the strategy for what the concept
2467 requirement is and then John will elaborate a little more on
2468 some of those . . . the details of some of those concept
2469 requirements. Basically, for the self-contained escape
2470 respirator we envision a requirement or a concept that's very
2471 similar to the concept that we use for our self-contained
2472 breathing apparatus, which basically is a three-tier
2473 requirement. The first tier being 42 CFR Part 84 approval.
2474 So recognizing that there are requirements for this type of
2475 device in place 42 CFR does identify those. Then the second
2476 tier being enhanced performance requirements and then finally
2477 the requirements or the concept requirements that we see for
2478 the CBRN applications. To talk a little further about the
2479 first tier of that requirement 42 CFR Part 84 approval the
2480 rated duration or the rated service of the time for the escape
2481 respirator as defined by 42 CFR would be 15, 30, 45 or

2482 60 minutes. And then another requirement that one of the
2483 enhanced requirements that we'll see as John does his
2484 discussion, and we talked about it a little earlier this
2485 morning, was the requirement for a fogging performance or a
2486 fogging test. In that test we actually have a low temperature
2487 requirement of 10.5 °C. So for the self-contained escape
2488 respirator it needs to be operable and approved at at least
2489 that low temperature requirement. So concept one for the
2490 escape respirator is 42 CFR Part 84 approval, service time 15,
2491 30, 45, or 60 minutes as determined by 42 CFR and then a low
2492 temperature use approved for at least 10.5 °C. With that I'd
2493 like to turn it over to John, who will talk about tiers --
2494 tier 2 and tier 3.

2495 **JONATHAN SZALDJDA:** I think, and if nothing else I think
2496 we're trying to be consistent with the approach that we've
2497 taken with CBRN standards as far as having the tiers of
2498 requirements. To go along with that, we've identified these
2499 areas as potential requirements that we would like to explore
2500 as part of the concept. I think a couple things and
2501 we've . . . I guess basically you've heard this morning as
2502 part of the discussion the technical details as explained by
2503 Frank Palya as far as the actual conduct of the test. I did
2504 want to make one point that just to clear up I guess any
2505 confusion as a result of one of the questions from this

2506 morning was that what we envision on doing along like what
2507 we've done with the gas mask standard is to do the
2508 environmental . . . environmental conditioning of the product
2509 prior and to proceeding into the last tier of testing. I
2510 think from this morning with the gas life testing in
2511 particular with the, I believe one of the questions came up as
2512 far as the equipment and how the equipment would be tested
2513 against those requirements. And they were going to try and
2514 maintain that consistency throughout the effort. Again, we
2515 are looking at the self-contained device being a hooded type
2516 system. At least conceptually that's what we envision right
2517 now. I think we heard several good comments this morning with
2518 regard to considerations of facial hair, the potential for
2519 wearing glasses, people that have long hair that this could be
2520 a concern as well, but again these are all factors that will
2521 be considered as part of the evaluation criteria.

2522 Donning time again, donning we envision being an
2523 important characteristic as far as going from the packaging to
2524 on the user and ready to be used within 30 seconds. Likewise
2525 the types of environmental conditioning we're envisioning are
2526 pretty consistent with what we explored in detail this morning
2527 with regard to the temperature and humidity challenges as well
2528 as the transportation parameters. Again, in looking at the
2529 type of environment with this type of system, we are

2530 anticipating having the flammability concept, we will do some
2531 additional explorations, as far as whether it's the one burner
2532 versus six burner type test but the parameters of the
2533 evaluation will stay pretty consistent. With the human
2534 factors type testing, again we're looking at field a view,
2535 fogging and communications, with the communications being an
2536 optional type of requirement with the actual numerical values
2537 fairly consistent with what we discussed this morning. I
2538 think we've heard that no fogging given the potential use of
2539 this system and the donning and ambient condition in moving
2540 into a potentially different temperature environment will be
2541 very important. Breathing gas is less explained we're looking
2542 this morning with the air-purifying respirator; we're looking
2543 at using the metabolic simulator as the tool for the self-
2544 contained system. Again, these are all conceptual but we're
2545 trying to base along the lines of what's currently captured in
2546 existing standards. The third tier after . . . in line with
2547 our standard is the special CBRN requirements, and in this
2548 case obviously with a self-contained system there isn't going
2549 to be a filtration requirement so we will just be solely
2550 addressing the LRPL testing and the chemical warfare agent
2551 testing. With the LRPL again, the parameters of the test are
2552 the same. Conceptually we are looking at the same fit factor
2553 requirement that was identified with the air-purifying escape

2554 respirator. Understand that this is a concept at this point
2555 and as we move further along with the definition of
2556 requirement that you may see some variability with the LRPL
2557 value. Again, going back to looking with the hood system
2558 tying into the anthropometrics associated with the data base
2559 that we identified from the air force and measurements of head
2560 circumference, neck circumference and face length. Again
2561 going into filling these cells in terms of conducting the
2562 actual certification test, I think one of the sidebar
2563 discussions that we addressed earlier is that even with this
2564 type of matrix it doesn't preclude a one-size-fits-all
2565 characteristic but just that conceptually as far as conducting
2566 the certification testing that we would be filling a panel
2567 with each of those associated cells and evaluating the
2568 respirator as appropriate. Along with the other special test,
2569 or the other CBRN unique test is the chemical warfare agent
2570 challenge. In looking at the requirement for the self-
2571 contained unit in comparison to our earlier work that we
2572 anticipate that the self-contained unit would be used in areas
2573 of unknown and unquantified types of concentrations and as
2574 such we're looking back at the parameters that we establish
2575 for the SCBA program where a responder would be going into a
2576 unquantified, unknown environment. And we're using the CWA
2577 parameters that were established as part of a hot zone type

2578 operation for the SCBA. Again the test time would be
2579 dependant on the manufacturers indicated service life as part
2580 of the application whether it's a 15-minute device would mean
2581 a 15-minute exposure and then a total test time of 30 minutes
2582 for any penetration or permeation through the respirator would
2583 be required. Likewise, we're following the same methodology
2584 for the sulfur mustard test with the application of not just
2585 the vapor challenge but also the liquid challenge to the
2586 respirator system. And with that these are I guess the
2587 general . . . the general concepts that we've anticipated for
2588 the self-contained unit but it seemed originally with our
2589 concept development process we weren't planning on addressing
2590 the self-contained aspect initially but as we got into the
2591 identification of the requirements and the evaluation of
2592 potential concepts for the air-purifying respirator it just
2593 seemed it was a convenient and naturally evolving process to
2594 take the information that we've accumulated and then roll it
2595 into the self-contained concept as well. I think you'll see
2596 over the next several months the evolution of our thinking
2597 with the self-contained concept. Any questions?

2598 **STEVEN BERNING:** My name is Steven Berning, I'm with
2599 Ocenco Incorporated. I'd like to make three recommendations
2600 related to duration. First, there's hundreds of thousands of
2601 NIOSH approved devices in use in the United States for escape

2602 less than 15 minutes. So my recommendation is that you
2603 include the durations that are in Part 84, that is the 3, 5,
2604 and 10minute ratings. In fact, you are not showing the full
2605 range of durations from Part 84. My second point is small
2606 changes in self-contained devices have dramatic changes in the
2607 size of the self-contained device and for that reason Part 84
2608 also includes a clause that says that intermediate durations
2609 are acceptable. I recommend that you also adopt that
2610 approach.

2611 My third recommendation is that you, consistent with Part
2612 84, you look at the possibility of long duration, self-
2613 contained CBRN devices and let the market determine if 60
2614 minutes is long enough and Part 84 would have 2 hours,
2615 3 hours, 4 hours ratings.

2616 **JONATHAN SZALAJDA:** Thank you very much, appreciate it.

2617 **JAY PARKER:** Yes Jay Parker with Bullard. I was struck
2618 by having the same laboratory respirator protection level
2619 requirement for a negative pressure device and a positive
2620 pressure device; I think that's somewhat questionable. You
2621 know, in other words a positive pressure device should be
2622 capable of providing a higher fit factor or protection factor
2623 so you may want to look into that a little bit. The other
2624 comment I have is on the flammability test you're referencing
2625 EN 136 which is full-face masks as something you looked at for

2626 guidance but how about EN 270, which is airline hoods, which
2627 does have a flammability test also with a single burner. So
2628 you might want to look at that flammability test because
2629 that's hoods rather than full-face masks.

2630 **JONATHAN SZALAJDA:** Thank you for that comment. I guess
2631 on the one comment on the LRPL value, I think that one of the
2632 things that we're considering while we acknowledge the
2633 difference between the positive and the negative pressure that
2634 in the event that the positive pressure aspect of the
2635 respirator fails, for whatever reason, then you can still have
2636 a degree of protection from wearing the respirator in a
2637 negative pressure mode and as Rowland said, that's the way
2638 it's tested.

2639 **WILLIAM NEWCOMB:** Bill Newcomb with North Safety. As I
2640 read the concept paper originally it sounded as if this was
2641 going to be full body and take into consideration all sorts of
2642 dermal protection. Was I wrong in reading it that way or is
2643 it changed?

2644 **LES BOORD:** One of the concepts that is mentioned in
2645 there is the possibility of additional dermal protection.
2646 Again, it's a concept I think, the evolution or development of
2647 that concept we need to mature further. At this point, it's
2648 identified as a concept, dermal protection will be required.

2649 **WILLIAM NEWCOMB:** One other issue that comes from this
2650 morning's discussion. In the concept paper it indicated that
2651 the liquid mustard was going to be 60 minutes, rather than
2652 being the same as the vapor and the gas and your overhead
2653 showed them being the same. I wanted to just confirm that the
2654 concept paper is not correct in that aspect.

2655 **LES BOORD:** The intention for the liquid application
2656 would be the same as the vapor.

2657 **WILLIAM NEWCOMB:** Thank you.

2658 **LES BOORD:** That's correct. Another comment that I
2659 would . . . thanks . . . another comment that I would like to
2660 make is the . . . we talked about bench testing quite a bit
2661 for the air-purifying type respirators for the self-contained
2662 respirators, we do intend to do bench testing as well,
2663 particularly in the agent environment. So we do plan to
2664 continue our bench testing program to evaluate self-contained
2665 equipment on the SMARTMAN. Additionally, there was a element
2666 of bench testing reported this morning, which I think is
2667 applicable and that is some of the CWA testing that Wayne
2668 spoke about. Where we tested hoods from some of the
2669 commercially available escape respirators, air-purifying
2670 respirators but we tested those systems at the high challenge
2671 rates. We tested them at basically the GB, HD challenges that
2672 we're mentioning for the self-contained unit. We saw very

2673 positive results in that area. So in that respect, that bench
2674 testing tells us that the hood technology is certainly there
2675 to meet the types of requirements that we're looking at for
2676 the self-contained. So as we go forward, further bench-
2677 testing will be done on the self-contained systems on the
2678 SMARTMAN configuration. Any other questions?

2679 I think what we'll do is, we're . . . miraculously, we're
2680 a little bit ahead of schedule. I think what we'll do is
2681 we'll take a break now and let's convene at 2:30 and then
2682 resume with the last segment of the program.

2683 (BREAK)

2684 **JONATHAN SZALDJDA:** A couple . . . before we have the
2685 last presentation and the open period of the program for
2686 additional public comments, there are a couple . . . couple
2687 things I just wanted to re-bring into everybody's attention.
2688 The yellow . . . yellow form that was in the pamphlet that you
2689 received, if you can fill that out and give that to the
2690 receptionist at the end of the day, we'd appreciate that. The
2691 other thing, is that the attendees list is for who is at the
2692 meeting today is available and it's on the back table with the
2693 other standards as well as some of the other concept papers
2694 and letters to manufacturers and interested parties that we've
2695 released in the last few months. To conclude, or at least as
2696 far as our formal presentations today, there is some general

2697 topics of interest that we would like to cover related to the
2698 CBRN program, as well as some other programs that the National
2699 Personal Protective Lab is conducting that may be of interest
2700 to both the stakeholders, as well as potential users of these
2701 products, so with that let's talk about some of the CBRN
2702 related topics.

2703 Actually, we're going to jump ahead a little bit and I
2704 wanted to at least spend a couple minutes talking about an
2705 effort that I'm the project officer on for the NPPTL. As a
2706 result of the events of September 11 and the collapse of the
2707 World Trade Center, NIOSH has undertaken a program to develop
2708 health and safety guidelines for emergency workers, who may be
2709 working in a post-structural collapse hazard. And the intent
2710 of the guidelines is to address the first 24 to 48 hours of an
2711 event where responders would come on site and what they would
2712 need to do to protect themselves against hazards that would be
2713 present in the environment just solely from the aspect of the
2714 building itself collapsing. We're working this project in
2715 conjunction with . . . under an interagency agreement with the
2716 National Science Foundation and the RAND Science and
2717 Technology Policy Institute. What we're doing in terms of
2718 this project is using a three-part approach to develop these
2719 guidelines. The first part was to characterize the response
2720 mission and the hazard associated with the emergency responder

2721 going to a collapse site. Simply put we broke it down into
2722 physical, chemical, and biological type hazards that a
2723 responder may be faced with in moving into one of these types
2724 of environments. Along with that we spent a good deal of time
2725 researching the hazards associated with the collapse,
2726 primarily based on looking at the timeframe . . . looking at
2727 tall buildings around the country that were using, primarily,
2728 a 20-meter type building as the baseline. Developing, looking
2729 at the data and construction trends based on the last century
2730 of building within the United States to try to identify and
2731 develop as a tool, a model of what a responder may expect to
2732 see in the event of responding to one of these types of
2733 events. I guess for example, just to go on a tangent for a
2734 minute, when you look at the building trends in the country
2735 especially for developing tall structures, there are some
2736 distinct periods which pretty well track along the times of
2737 good economic prosperity, where tall structures were built
2738 across the country and along with . . . following those trends
2739 there are also distinct trends in the types of building
2740 materials that went into . . . went into the structures.
2741 Earlier parts of the century was very concrete based, latter
2742 parts of the century, a lot more steel, a lot more glass. And
2743 part of what we're . . . what the effort was, was to identify
2744 in the event of a building collapse knowing the construction

2745 parameters of the buildings. How to take that and translate
2746 that into the hazards that a responder may see in the first 24
2747 to 48 hours of being on site and to that extent we've gone
2748 ahead and identified the . . . conducted a hazards . . .
2749 identified the hazards, conducted a hazards analysis and we're
2750 at the point where we've identified traditional industrial
2751 hygiene practices that in a real . . . in a perfect world that
2752 could be applied or should be applied for the responder and
2753 working in one of these types of environment. I think the
2754 interesting aspect of this program is that for us we're trying
2755 to take a step beyond the traditional industrial hygiene
2756 guidance. The traditional things that you may look up in a
2757 manual or HAZWOPER training or whatever but to provide
2758 guidance to the responder based on questions that he may have
2759 with regard to the hazards and his equipment. Really Rich
2760 Metzler likes to say that this program began about 2:00 in the
2761 morning on September 12, when he got the first call from New
2762 York City about what type of respirator do I need to wear for
2763 asbestos. Not knowing anything about concentration levels or
2764 the amount of hazard, the duration of hazard, you know, it's
2765 flying by the seat of your pants type of industrial hygiene.
2766 The intent of this effort that in the event we have another
2767 incident whether it's caused by a terrorist incident or caused
2768 by natural disaster (earthquake, flood, or whatever) but to

2769 develop the guidelines associated to answer questions that the
2770 responder may have regarding the hazards that he could be
2771 facing as well as questions regarding his personal protective
2772 equipment. Where we are in this project, we're planning on
2773 having a final document available by the end of the summer and
2774 we're doing coordination between different federal agencies,
2775 with OSHA, with FEMA, with the Environmental Protection
2776 Agency, as well as working with the ISEA with the
2777 International Safety Equipment Association and responders and
2778 trying to develop these guidelines. What I would encourage
2779 you to do, if you're interested in more information or
2780 potentially would like to be part of this effort, you can see
2781 me after the meeting or give me a call or send me an e-mail
2782 over the upcoming weeks and I can give you some more
2783 information regarding the project and the potential for your
2784 involvement. And with that I'm going to stop talking and let
2785 Les finish with the other R&D -- or the other CBRN related
2786 topics.

2787 **LES BOORD:** What we'd like to do now is go over a few
2788 topic areas and programs or projects that we've identified
2789 that are pertinent to the CBRN standards development
2790 activities and some additional ideas and visions that we see
2791 for the program and perhaps some of the impact on
2792 certification costs and fees and so forth.

2793 The first one I'd like to talk about is what we are
2794 labeling as our inhalation flow investigation. This has been
2795 initiated due to information provided to the docket concerning
2796 high physiological demand or high work rates, high breathing
2797 rates and respirator use at those high rates. Some of the
2798 data provided or submitted to the docket indicates that peak
2799 inhalation flows can range from 700 to 900 liters per minute.
2800 Other more recently submitted data would tend to suggest that
2801 that flow rate . . . peak flow rates are more in-line with 400
2802 to 500 liters per minute. In addition to the high flow rates
2803 the data also suggests that there are increases in the peak
2804 inhalation flow that are the result of speaking type
2805 exercises. I think if you look at the data that's been
2806 submitted that would suggest that you can have perhaps a
2807 10-percent increase in what the peak inhalation flow rate is.
2808 And then finally, the submitted data suggests that the result
2809 of such high flows may indeed affect the overall performance
2810 of the respirator. In addition to all that, I think there is
2811 independent data available that would sort of indicate and
2812 support the flow rates in the range of 400-500 liters, may
2813 indeed be the high end of what the human response is. So to
2814 address the information that has been provided to the docket
2815 NIOSH is conducting an investigation to address the concerns
2816 of the high physiological demand. The investigation basically

2817 has three parts or three components to it. The first is what
2818 we've labeled as a literature search or a research into what's
2819 been published. Secondly, is the testing respirator testing
2820 and data analysis to . . . that may be required, and then
2821 finally is a protection analysis. With the literature
2822 research we intend to look at what's been published and
2823 perhaps look at some ongoing research to actually zero in on
2824 what the maximum ventilation rates that we should expect to
2825 experience when using a respirator. In addition to that, we'd
2826 like to see what the research says relative to the wave shape
2827 or the shape of that breathing response as we increase the
2828 work flow . . . or the work rate. And then finally to see
2829 what research says relative to influencing factors, speech,
2830 and so forth. The first part of the investigation is the
2831 literature search or literature research, then secondly, the
2832 respirator testing and data analysis, we look at the . . .
2833 what we're looking at there is perhaps filling in some of the
2834 gaps that we identify in looking through the research. We
2835 anticipate that there is testing that will be required on
2836 different types of respirators, self-contained, particulate,
2837 gas and vapor respirators. We also can envision that the
2838 second part of the program will not necessarily be totally in
2839 series with the first. We may actually . . . I think there
2840 are some suspect areas now where testing can actually parallel

2841 the research investigation. The testing and data analysis is
2842 the second part. Then finally I think once we focus and have
2843 data and information in these areas, I think we need to
2844 finally then put the whole picture together and see what is
2845 the impact -- what we're calling the protection analysis. If
2846 we do experience the effects of the high, extremely high
2847 inhalation flows and the different types of respirators, and
2848 what is the real impact on that respirator system and the
2849 protection it is intended to provide. The final phase then is
2850 the protection analysis and any resulting impact that may come
2851 from that. With this investigation we hope to be able to
2852 address some of the information that has been submitted to the
2853 docket as part of our standards development process.

2854 The next program I'd like to talk a little bit about and
2855 this is probably very apropos to the discussions we've had
2856 today. That is a program that we refer to as our CBRN R&D
2857 program and this program was announced in a letter to all
2858 manufacturers on March 4. Basically, what it does is outline
2859 a program where we . . . where a applicant (the manufacturer)
2860 can do CBRN agent testing on the SMARTMAN test apparatus
2861 through NIOSH. It provides an access to applicants and
2862 manufacturers to actually do chemical agent testing on
2863 respirator designs that they have . . . that they want to
2864 evaluate. There is a qualification on it in that we limit the

2865 participation in the R&D program to applicants or
2866 manufacturers that have a quality control plan evaluated as
2867 acceptable by NIOSH. Basically, it comes down to as part of a
2868 certification program you have a quality control plan that
2869 basically needs to be in place in order to participate in this
2870 R&D . . . CBRN R&D effort. The part of the program is that it
2871 will provide three days of testing to the applicant. Three
2872 days of agent testing at the SBCCOM Chem. Lab. The vehicle
2873 that the manufacturer would use to initiate this testing is a
2874 letter application to NIOSH. The form and the format of that
2875 letter application is provided as an attachment to the March 4
2876 letter. So it's a pretty simple format that's used by means
2877 of the letter then and that is an attachment to the March 4
2878 letter.

2879 A qualification and information that's I think very
2880 important to it is that one of the restrictions is that the
2881 R&D testing, any of the testing cannot and should not be
2882 counted as certification testing eventually. Basically, the
2883 testing is independent from certification. The applicant
2884 certainly can be in the laboratories to witness the test. I
2885 think there are criteria and guidelines relative to who can
2886 access into the Chem. Lab. I think it's a maximum of three
2887 individuals and with also requirement relative to citizenship
2888 and so forth and that still needs to be adhered to. And then

2889 finally the data, any data that's generated by means of the
2890 R&D program belongs to the applicant or the manufacturer.
2891 Basically, what this does it gives an opportunity to do what I
2892 like to refer to as trial and error testing. Trial and error
2893 testing on respirator designs that the applicant the
2894 manufacturer then can make decisions relative to the
2895 performance of their equipment. Within the constraints of
2896 that program then there are a maximum of four . . . within a
2897 three day period there are a maximum of four live agent tests
2898 that can be performed. That would be two sarin tests and two
2899 mustard tests. In addition to that within the three day
2900 turnaround time there's up to 10 agents, material swatch test
2901 that can be performed. These tests with the March 4 letter,
2902 there is a menu that identifies the testing fees as well as
2903 the test possibilities. You can see that the SMARTMAN sarin
2904 test is \$4,500 per test, the HD test is \$4,500 and then
2905 material swatch tests are \$50 per swatch. Just working out a
2906 little example, you don't have to do all of the tests. In
2907 other words, in the letter of application to perform the R&D
2908 testing you may only want to do two GB tests or you may want
2909 to do two HD or one HD, two GB. That's up to the individual;
2910 you use the test menu fees then to determine what the cost
2911 would be. So for the example that we've illustrated there if
2912 you did two GB tests, 1 HD test and then 10 material swatch

2913 tests the total fee would be \$14,000. Again, that's in a 3-
2914 day turnaround time. The only restriction relative to access
2915 to it is that the applicant needs to have a NIOSH acceptable
2916 quality control plan. Before I go off that any questions on
2917 that . . . On the R&D program?

2918 **BODO HEINS:** Bodo Heins, Draeger Safety. When you're
2919 speaking of the turnaround time, then you mean once the test
2920 is starting but what about the time until the test will start?
2921 Second question is, are we allowed to take the data from this
2922 testing here as pre-submission data?

2923 **LES BOORD:** That start time to testing once you make the
2924 application depends purely on the backlog for testing at that
2925 particular time. The R&D testing does take a second priority
2926 to certification testing. So if we have certification testing
2927 in queue . . . okay . . . that is being performed then that
2928 has a priority over the R&D. I think what typically happens
2929 is that there are sort of gaps in the certification testing
2930 where the R&D testing can be inserted. That's the first part,
2931 the second part is can . . . yeah the pre-approval test data
2932 or data that's generated during the R&D program can be
2933 provided as pre-approval test data but it can not count as
2934 certification data.

2935 **WILLIAM NEWCOMB:** Bill Newcomb from North. Has NIOSH
2936 considered having an R&D program for the metabolic simulator?

2937 **LES BOORD:** As of about 2 seconds ago, that's a good
2938 concept, good idea. And as the requirements for the breathing
2939 gas control continue to develop further that may indeed be a
2940 direction that we need to go. Again, the whole motivation
2941 behind identifying a CBRN R&D program is as I'm sure everybody
2942 recognizes there is extreme or there is limited access to
2943 using chemical warfare agents. With this program, we see a
2944 way to actually facilitate the ability to do research and
2945 development type testing.

2946 Concerning the estimate of certification fees for an
2947 escape . . . CBRN escape respirator we would, at this point in
2948 time, just using a gross gauge we would say that it's probably
2949 going to be in the \$90,000 area. I think those of you who
2950 have been following the program, and involved in the program,
2951 pretty much know where that number comes from because it's
2952 very . . . it's comparable to the certification fees that we
2953 have for our CBRN gas mask. The scope of the testing is
2954 relatively the same so I think that is a good gauging number
2955 at this point. Now as the program develops as requirements
2956 become more defined there will be more definition behind that
2957 number. Then the processing time, the 120-days, again is
2958 patterned after and estimated after the CBRN gas mask, where
2959 we know that we have 80 days of pure test time involved. So
2960 120-days would seem to be a reasonable number there. And

2961 again, both based on the CBRN air purifying respirator. Very
2962 rough numbers at this point.

2963 Finally, what I'd like to do is the development process
2964 for the escape respirator obviously will continue. At the
2965 beginning of the program we announced that our timeline for
2966 completion of the standard in our overall CBRN program calls
2967 for the escape respirator to be completed in October of this
2968 year, so October 2003. But as I'm sure everybody in this room
2969 realizes the escape respirator is a topic of intense interest.
2970 So while that is our goal and our timeline, we're not sure
2971 what the pressures . . . how outside pressures or other
2972 pressures may affect that. Right now our defined time is
2973 October of this year. In anticipation of continued
2974 development efforts we are scheduling another public meeting
2975 that we'll discuss the CBRN escape respirators the end of
2976 June. That target is actually is being even more focused,
2977 it's like June 25 is the date we're trying to nail down. And
2978 we will need to make that official or finalize that June 25th
2979 is the target. The target would be, again in Pittsburgh
2980 probably at one of the Pittsburgh locations, perhaps where we
2981 had our October 2002 meeting in Cannonsburg but it will be
2982 local in Pittsburgh. With that I would like to ask if there's
2983 any questions?

2984 **JAY PARKER:** Jan Parker with Bullard. I would just like
2985 to say that I think NIOSH should consider a similar program
2986 for this standard as they did in the gas mask standard in
2987 which the live agent testing would be performed up front on
2988 two samples to sort of weed out any . . . or to prevent the
2989 wasting of resources both money and time on respirators that
2990 aren't going to pass the test. You know, I think that would
2991 be the smart thing to do.

2992 **LES BOORD:** Thank you, I think that's an excellent
2993 suggestion. Any other comments?

2994 **SAM SHEARER:** Sam Shearer, CSE Corporation. I have a
2995 couple of comments I'd like to make in general . . . part of
2996 the program . . . future thoughts. Have you considered
2997 different applications, permit different standards for the
2998 apparatus? For example, people working in offices should not
2999 require an apparatus that can withstand abuse you may find in
3000 the industrial market. What I'm saying is, if somebody has a
3001 unit in an office, it's not going to be exposed to all the
3002 atmospheric conditions that it would be in a commercial
3003 industrial plant. Training, has there been any thought
3004 regarding training potential users of these types of
3005 apparatus? Can we expect users to put on apparatus in
3006 30 seconds? If their unit is stored in their desk does it
3007 need to pass the long duration temperature test and etc.?

3008 **LES BOORD:** Thank you, both of those I think are
3009 certainly good comments. To answer the first question, at
3010 this point I need to say that we hadn't considered a . . .
3011 let's say a tiered type of a performance requirement where you
3012 may have light use units versus heavy duty use units. So we
3013 hadn't considered that but that is a good idea. The second
3014 thing, concerning training, I think, again, a very good point,
3015 I think when we talk about escape respirator the training
3016 element can not be over emphasized. When you . . . for those
3017 of us who are familiar with respirators and using respirators,
3018 I think it's quite evident that you can't expect a person to
3019 have an escape respirator, train them on it in July of 2002
3020 and expect them to be able to use it in February 2003 without
3021 ever having looked at it again and without a proper type of a
3022 training program. I think that the training aspect is
3023 certainly something that needs to be factored in to our CBRN
3024 standard. I do envision that the concept will address that,
3025 the training, and the training module for these types of
3026 units. I think it is an important aspect. Any other
3027 comments, questions?

3028 **ERIK JOHNSON:** Erik Johnson, 3M. I must say, I don't
3029 envy having to rationalize all these enduring comments. You
3030 made quite a distinction between the full-face standard, where
3031 you're required to wear other types of PPE on their body.

3032 This one scope is for office workers who are clothed as we are
3033 today. I guess the question would be why are we even testing
3034 them for liquid agent resistants, possibly flammability, if
3035 our scope really is office workers who do not have this extra
3036 type of PPE to protect the rest of their body?

3037 **LES BOORD:** First, the question relative to the
3038 flammability, we do see that the requirement for flammability,
3039 may in fact, be something that can be directed towards only
3040 escape respirators providing certain types of protection, CO
3041 protection and so forth where you may have the high heat or
3042 the flame hazard. So I think that's a possibility as we
3043 develop that requirement. Secondly, concerning the liquid
3044 agent I don't know, and I don't think that we've seen or
3045 perhaps rationalized our way through to the point where we can
3046 say that there would never be a liquid agent potential. I
3047 think even in an escape scenario, if we're talking about
3048 escape from CBRN type environments, then you always have that
3049 potential that you could have a liquid present. So I think it
3050 is . . . I don't think we can preclude it from being one of
3051 the hazards. I think also when you take that aspect and you
3052 marry it to the benchmark testing that we've done that
3053 demonstrates the ability to provide liquid agent protection is
3054 within state-of-the-art technology. I think that also

3055 supports the idea that it shouldn't . . . shouldn't just
3056 eliminate it, that we shouldn't preclude it.

3057 **IRA GURVITCH:** Ira Gurvitch, I.B.N. One of the areas
3058 that we didn't go over that much has to do with the size and
3059 the weight. Is it possible as previously mentioned, there
3060 could be a two-tier structure where let's say the smaller it
3061 is, let's say from the low level, the 15, 30 minutes versus
3062 the specific area where you're talking 45, 60 minutes?
3063 Generally speaking the smaller, naturally is not going to last
3064 as long but it's important for people that are taking subways
3065 or around a bus or a train.

3066 **LES BOORD:** Correct. I think relative to the size and
3067 the weight right now the concept is silent relative to those.
3068 We don't come out and specify a particular size requirement or
3069 a weight requirement. In fact, I think we've sort of taken a
3070 different approach and the approach we're trying to develop
3071 through the concept is, again, coming back to the idea of
3072 balance. Balance between what the performance requirements
3073 are, what the user requirements are, and the technology. To
3074 let those minimize the packages that result. I think our
3075 benchmark testing is very useful in helping us to do that. So
3076 when we talk about size and weight, I think that becomes one
3077 of the areas where we sort of verge on the line between
3078 performance requirements and design requirements. Right now,

3079 so far, our approach has been to try and build it through the
3080 performance requirements. But there will be . . . we do
3081 expect there's a distinct difference between the short
3082 duration unit and the longer duration unit. Okay, if there
3083 are no other comments, I think we can rap up today's program.
3084 Again, the next meeting is targeted for June 25th in the
3085 Pittsburgh area. Look for a federal register notice to that
3086 affect and a letter to manufacturers as well. Thank you for
3087 your participation, the input has been valuable to us and
3088 don't forget comments supplied to us, both verbally and
3089 written, to the docket, I think, are very meaningful. Thank
3090 you.

(END)