

U.S. DEPARTMENT OF HEALTH
AND HUMAN SERVICES (DHHS)

CENTERS FOR DISEASE CONTROL
AND PREVENTION (CDC)

+ + + + +

NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH (NIOSH)

+ + + + +

PUBLIC MEETING TO SEEK COMMENTS ON THE
CURRENT NIOSH POLICY TO CLASSIFY
CARCINOGENS AND ESTABLISH
RECOMMENDED EXPOSURE LIMITS (RELs)

+ + + + +

MONDAY,
DECEMBER 12, 2011

+ + + + +

The meeting convened at 9:00 a.m.
in the Hubert H. Humphrey Building, Room 800,
200 Independence Ave S.W., Washington, D.C.,
Paul Schulte, Ph.D., presiding.

PRESENT:

- PAUL SCHULTE, Ph.D., NIOSH/CDC
- JOHN HOWARD, M.D., Director, NIOSH/CDC
- T. J. LENTZ, Ph.D., NIOSH/CDC
- KATHLEEN MacMAHON, DVM, NIOSH/CDC
- FAYE RICE, MPH, NIOSH/CDC
- RALPH ZUMWALDE, MS, NIOSH/CDC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-2704

www.nealrgross.com

PUBLIC COMMENTERS:

GINO BEGLUITTI, National Center for
Environmental Health (NCEH)/ CDC

KATHLEEN BURNS, Ph.D., Sciencecorps
(via phone)

ANNA FENDLEY, United Steelworkers Union

BOB GLENN, Glenn Consulting Group

WILLIAM KOJOLA, American Federation of
Labor and Congress of Industrial
Organizations (AFL/CIO)

DAN NAPIER, Industrial Hygienist

JAMES MELIUS, M.D., DrPH, Laborers'
International Union

JOHN SCHWEITZER, American Composites
Manufacturers Association

DARIUS SIVIN, Ph.D., International
Union, United Automobile, Aerospace and
Agricultural Implement Workers of
America (UAW)

LAURA WELCH, M.D., Center for
Construction Research and Training
(CPWR)

KIMBERLY WISE, Ph.D., American
Chemistry Council

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-5751

(202) 834-4400

TABLE OF CONTENTS

AGENDA ITEM	PAGE
Welcome, Introductions, and Overview	5
Paul Schulte, Ph.D. and panel (T. J. Lentz, Ph.D.; Kathleen MacMahon, DVM; Faye Rice, MPH; Ralph Zumwalde, MS)	
Discussion of 5 Questions	40
Open Public Comment Period	132
Closing Comments and Next Steps	154
Paul Schulte, Ph.D.	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 9:12 a.m.

3 DR. HOWARD: Thank you very much.
4 And welcome, everybody, to our meeting on
5 carcinogen policy and recommended exposure
6 limits. Thanks very much for coming today.
7 We appreciate your time from your busy
8 schedules.

9 This is an important meeting for
10 us. It's sort of a kickoff to get some good
11 thoughts going about these important issues.
12 And we hope that you will participate both
13 today as well as throughout the process.

14 And it is my job to introduce the
15 head of our initiative, Paul Schulte, who is
16 also Director of the Division of Education
17 and Information here in NIOSH, actually in
18 Cincinnati. And he will be making a
19 presentation, introducing the team that's
20 working on this.

21 So thank you again for coming. I
22 appreciate all of your time. And we look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

WWW.NEALGROSS.COM

1 forward to all of your good comments for us.
2 Thanks.

3 Paul?

4 DR. SCHULTE: Thank you, John.

5 WELCOME, INTRODUCTIONS, AND OVERVIEW

6 DR. SCHULTE: Good morning,
7 everyone. And, as Dr. Howard said, thank you
8 for coming and being willing to share with us
9 your thoughts and opinions on NIOSH's cancer
10 policy.

11 We hope to examine that policy and
12 consider revisions, which we will make
13 available for public comment in a further
14 public meeting in the future. I will get
15 into that more in a moment. First, some
16 housekeeping details.

17 For exits, you go through the
18 double doors there and then the next one.
19 And the steps are right on the left.

20 With regard to this meeting, we
21 haven't been able to get the Web portion up.
22 And so those people who are watching it on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 the Web will only get the audio, but won't be
2 able to see the slides in my presentation.
3 We will make those available on our website.

4 The meeting will include remote
5 participants in a variety of cities,
6 primarily NIOSH locations participating via
7 the Envision system. So, if you hear voices
8 coming from that system, that is who those
9 people are. We will also have some people
10 who are participating by telephone and we will
11 hear them, too.

12 One of the things we would like to
13 do is just have everyone introduce themselves
14 so that we can make sure that we have a full
15 roll, particularly for the people who are on
16 Envision and on the telephone.

17 All of this information that will
18 be presented here today will be put in the
19 NIOSH public docket, so the comments as well
20 as any written materials that you have
21 submitted will be accessible and in the
22 public domain.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Ideally, your oral comments will
2 be amplifications of material that you will
3 submit to the docket in writing, but it's not
4 necessarily required.

5 And so if we could just go around
6 the room, and then we'll go through the
7 virtual land to identify people. So, Dr.
8 Howard?

9 DR. HOWARD: John Howard with
10 NIOSH.

11 MR. NAPIER: Dan Napier,
12 industrial hygienist.

13 MR. GLENN: Bob Glenn, Glenn
14 Consulting Group.

15 DR. WELCH: Laurie Welch with the
16 Center for Construction Research and
17 Training.

18 DR. WISE: Kimberly Wise with the
19 American Chemistry Council.

20 MR. STRACHAN: Dan Strachan,
21 National Petrochemical and Refiners
22 Association.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON D.C. 20005-3701

www.nealrgross.com

1 MS. FENDLEY: Anna Fendley with
2 the United Steelworkers.

3 DR. SIVIN: Darius Sivin, United
4 Auto Workers.

5 MR. SHUDTZ: Matt Shudtz with the
6 Center for Progressive Reform.

7 MR. JAKES: Henry Jakes with
8 Vegnan Environmental Services.

9 MR. KOJOLA: Bill Kojola, AFL/CIO.

10 DR. MELIUS: Jim Melius, Laborers
11 Union.

12 DR. COGLIANO: Vince Cogliano,
13 U.S. EPA.

14 MR. HEARL: Frank Hearl, NIOSH
15 Washington, D.C.

16 MR. SLAWSKI: Jim Slawski, FAA.

17 MR. WALKER: Chris Walker with
18 Keller and Heckman.

19 MS. MARSHALL: M. J. Marshall,
20 Dutko Grayling.

21 MR. SCHWEITZER: John Schweitzer,
22 American Composites Manufacturers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrsgross.com

1 Association.

2 MR. SNYDER: Jack Snyder with the
3 Styrene Information and Research Center.

4 MR. MARKS: Howard Marks, National
5 Asphalt Pavement Association.

6 MR. STRODE: Rob Strode,
7 industrial hygienist.

8 MR. RASMUSON: Eric Rasmuson,
9 industrial hygienist, Chemistry and
10 Industrial Hygiene.

11 MR. COBLE: Joe Coble, OSHA
12 National Office.

13 DR. SCHAEFFER: Val Schaeffer,
14 OSHA.

15 MR. WHELAN: Bill Whelan, Bechtel.

16 MS. HEGSTAD: Maria Hegstad,
17 Inside Washington Publishers.

18 DR. BRAY: Patty Bray, OSHA.

19 MS. EDENS: Mandy Edens, OSHA.

20 MR. BEGLUITTI: Gino Begluitti,
21 CDC, National Center for Environmental
22 Health.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.

1 MR. SCHUMACHER: Randy Schumacher,
2 Schumacher Partners International.

3 MR. ZUMWALDE: Ralph Zumwalde,
4 NIOSH.

5 DR. MacMAHON: Kathleen MacMahon,
6 NIOSH.

7 DR. LENTZ: I am T. J. Lentz with
8 NIOSH.

9 COURT REPORTER: Hi, my name is
10 Jim Cordes. I'm the transcriber.

11 DR. SCHULTE: As you gather, then,
12 your remarks will be transcribed. Those
13 remarks will be posted on the website.

14 MS. RICE: Faye Rice, NIOSH.

15 DR. SCHULTE: Okay. Can we go to
16 the Envision in Cincinnati?

17 MS. DAMES: Barb Dames, NIOSH.

18 DR. SCHULTE: Lauralynn?

19 DR. McKERNAN: Yes. Barbara
20 announced herself and I did as well.

21 DR. SCHULTE: Lauralynn McKernan.
22 Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-2704

1 Morgantown?

2 DR. SULLIVAN: Patricia Sullivan,
3 Morgantown.

4 DR. SCHULTE: Atlanta?

5 (No response.)

6 DR. SCHULTE: A little delay here,
7 it seems. Any other NIOSH site?

8 (No response.)

9 DR. SCHULTE: Okay. On the
10 telephone?

11 (Telephone introductions.)

12 DR. SOFGE: Chris Sofge from NIOSH.

13 MR. TRIPPLER: Aaron Trippler,
14 AIHA.

15 MS. COOPER: Linda Cooper from
16 NASA.

17 DR. BURNS: Kathleen Burns from
18 Sciencecorps.

19 DR. SCHULTE: Anyone else?

20 (No response.)

21 DR. SCHULTE: Okay. Thank you
22 all.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Just one other note. The docket
2 on obtaining opinions about the NIOSH cancer
3 policy will be open until December 30th of
4 this year. So there's still time for their
5 submissions.

6 PARTICIPANT: On the phone, the
7 voice quality is poor. Could I ask that
8 people speak closer to the phone as well as
9 the microphone?

10 DR. SCHULTE: Okay. Frank, I'm
11 standing right next to it. Maybe I could do
12 it this way, make it easy. How does that
13 sound? Frank?

14 PARTICIPANT: Way better.

15 DR. SCHULTE: Okay. Thank you.

16 Okay, ladies and gentlemen, I am
17 going to give you a bit of an overview about
18 what we are thinking about in terms of the
19 current cancer policy, some of the history,
20 some of the background. And then we will
21 have time to go through each of the five
22 questions. After that, we will at the end of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the day also have a general comment period.
2 So you can speak multiple times if you would
3 like.

4 So the purpose of this review is
5 to reflect on the fact that there are some
6 issues in the NIOSH cancer policy that both
7 NIOSH staff and stakeholders have had some
8 concerns with.

9 The most critical of those issues
10 is the term "potential occupational
11 carcinogen." And throughout our history, but
12 more in recent years, there was concern that
13 the term "potential" conveys uncertainty
14 that's not warranted with many known
15 carcinogens, such as asbestos, benzene,
16 cadmium, and many others.

17 And so, consequently, we're
18 thinking that there is a need possibly to
19 revise the policy to address the issue of the
20 term "potential occupational carcinogen."

21 Additionally, the NIOSH cancer
22 policy only has one category: "potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-2704

www.nealrsgross.com

1 occupational carcinogen." And we are
2 concerned that the classification scheme does
3 not have the capability of incorporating
4 levels of uncertainty in the policy. And so,
5 whereas, other kinds of classification
6 systems, such as that used by NTP [National
7 Toxicology Program] or that used by IARC
8 [International Agency for Research on
9 Cancer], allow such incorporation of such
10 uncertainty.

11 So the first part of this
12 examination will be about NIOSH's cancer
13 classification system. The second part will
14 focus on the setting of recommended exposure
15 limits.

16 This is not something that is
17 specific to carcinogens, but it plays out a
18 lot in thinking about carcinogens. So we
19 thought we would examine some of the
20 questions that have been issues in recent
21 years. And these include such things as the
22 level of residual risk. If we make a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recommendation to reduce the risk below 1 in
2 1,000 cancers for a working lifetime, is this
3 an appropriate cut point? And what do people
4 think about the level of risk that still
5 remains?

6 We also have, in our recommended
7 exposure limit policy, language to the extent
8 that we need to think about the recommended
9 exposure limit to the extent that it's
10 feasible. Historically, we have approached
11 this to mean if it can be done or envisioned
12 in a single facility, that that was an
13 adequate assessment. This is different than
14 the definition of technological feasibility
15 that OSHA uses. So how should we continue to
16 interpret this statement?

17 And then there are a number of
18 technical features, such as the action level
19 and questions about what is its utility.
20 Historically, the action level was designed
21 to address sampling variability, but it was
22 also used as a trigger for various actions,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005 2701

www.nealrgross.com

1 including medical monitoring.

2 Should we still have an action
3 level? Should it be formulaic - - formulaic
4 being, historically we have often said the
5 action level is one-half of the REL or
6 recommended exposure limit? But maybe it
7 should be based on the distribution of
8 sampling results in a particular location. So
9 there are those kinds of questions.

10 And then the third category of
11 issues is that since the Occupational Safety
12 and Health Act of 1970, we have learned an
13 awful lot about cancer and particularly
14 occupational cancer. So how should the
15 advances in our knowledge of cancer science
16 be incorporated in the NIOSH cancer policy if
17 we revise it? So, those are sort of three
18 overviews of the issues that are of most
19 concern.

20 So I will continue with this
21 overview. We will then, as I said, have
22 input on the five questions. These were the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

WWW.NEALGROSS.COM

1 questions that were posted in the Federal
2 Register on August 23rd, 2011. And then, in
3 addition to comments on each of the
4 individual questions, we will also have a
5 final comment period at the end of the day.

6 With me today is a panel of NIOSH
7 staff. They have introduced themselves.
8 They will be sitting up here after the
9 presentation: Thomas Lentz, Faye Rice, Ralph
10 Zumwalde, and Kathleen MacMahon. They are
11 here to help amplify any of the remarks that
12 we want to make concerning the issues and
13 also to draw you out in terms of comments
14 that you might make. So they're here to help
15 in this process.

16 Now, occupational cancer is not a
17 disease of the past. In fact, it is a very
18 significant disease that burdens the
19 workforce in the 21st century. It is still a
20 significant cause of morbidity, mortality,
21 and societal burden.

22 Currently, there are millions of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 workers who are exposed to OSHA-regulated
2 carcinogens and tens of millions of workers
3 with past exposure. And it's estimated that
4 annually, out of 600,000 cancers, 4 percent
5 or 24,000 deaths result from workplace
6 exposure.

7 These numbers are generally
8 underestimated. And they're underestimated
9 for a number of reasons. Historically, the
10 assessments of attributable risk have been
11 conducted only on a few carcinogens and
12 cancer sites. So there hasn't been really a
13 comprehensive analysis.

14 Secondly, the role of carcinogenic
15 exposures in what analyses exist has not been
16 strong in the area of assessing the risks to
17 women or to subpopulations at high risk.

18 And, then, thirdly, we are now
19 starting to see more robust assessments of
20 the attributable risk. I'd point to the
21 paper by Rushton and colleagues in the U.K.
22 that shows attributable risks ranging up to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 10 percent.

2 So if 4 percent of the deaths are
3 due to occupational causes, when we talk
4 about new cases, it is estimated that there
5 are about 48,000 new cases of cancer a year
6 that are attributable to occupational
7 exposures.

8 And when you rank the causes of
9 cancer, this is third, behind cigarette
10 smoking and diet. But it is first when you
11 subdivide the rankings according to whether
12 the carcinogen exposures are voluntary or
13 involuntary. And so occupational
14 carcinogenic exposure is an involuntary
15 situation, whereas, cigarette smoking and
16 diet for the most part are considered
17 voluntary exposures; albeit, there is an
18 argument to be made about the complexity of
19 the voluntary nature there. Nonetheless,
20 occupational exposure is a critical cause of
21 cancer.

22 Now, we are interested in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 NIOSH cancer policy, in cancer from a variety
2 of occupational hazards: radiation, viruses,
3 and chemicals. Historically, most of our
4 focus has been on cancer related to chemical
5 exposures. And so I am going to give you a
6 little bit of the background on chemical
7 carcinogenesis.

8 Most of you know this quite well.
9 Some of you have written the book on it. To
10 some of you, it may be somewhat unfamiliar.
11 And so I will cover that as well.

12 You can trace back the thinking
13 about chemicals causing cancer at least to
14 Percivall Pott some 200 years ago, when he
15 identified scrotal cancer in chimney sweeps.
16 That observation wasn't built on too much
17 until at least about 100 years ago, when the
18 beginning of animal studies, particularly
19 skin painting studies with polycyclic
20 aromatic hydrocarbons and tars first started
21 to show cancers on the skin of animals.

22 That continued to grow. And it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was in the '70s, between the 1970s and the
2 1990s that we started to have a systematic
3 testing in chronic bioassays of various
4 chemicals for carcinogenic potency. And, in
5 fact, by 2000, one examination by Ames and
6 Gold showed that over half of the synthetic
7 chemicals that were tested were positive for
8 cancer in rats and mice.

9 Another way to think about it is
10 that of the approximately 200 agents known to
11 cause cancer in humans, nearly all had been
12 shown to cause cancer in rats and mice. And
13 this is critical, because many times when an
14 agency has to make a cancer determination or
15 a recommended exposure limit, it is based on
16 animal data. Ideally, we would like to know
17 what is happening in workers, but in many
18 cases, we don't have those data. But we do
19 have animal data.

20 The good thing about having animal
21 data is that we can preclude or we can
22 precede human exposure in many cases or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 extensive human exposure and thus prevent
2 unwarranted exposures. Nonetheless, there is
3 a good correspondence between cancer in
4 animals and cancer in people, particularly
5 workers.

6 Now, cancer is a multi-stage
7 process. It has various modes and mechanisms
8 of action. You can at least think of them
9 broadly in terms of genotoxic and
10 non-genotoxic modes of action. I will talk
11 about that a little further.

12 This slide just depicts the
13 multi-stage carcinogenesis process. In
14 general, the cancer process involves
15 interference in mutation in the DNA and
16 resultant genetic changes, of which the
17 organism selects for variations of those
18 changes. And over a period of time in a
19 variety of steps, those changes amass and
20 malignant tumor holds sway and is formed. So
21 this is the general flow for carcinogenic
22 exposure and particularly chemical carcinogen

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exposure.

2 It is more of a complex process
3 than that last picture showed. It has both
4 endogenous and exogenous kinds of co-factors
5 that need to be considered. There is also
6 the capability of the body to repair various
7 mutations that occur.

8 There is variability in people or
9 in animals in the way they respond to cancer,
10 both in terms of activating carcinogens as
11 well as in repairing damage from carcinogen
12 exposure. So cancer is what is considered a
13 stochastic type of process.

14 This slide -- I don't know if you
15 can read it. It is just a list of some of
16 the classic carcinogens: various metals,
17 cadmium, chromium, nickel, bis(chloromethyl)
18 ether, asbestos, diesel exhaust, cutting
19 oils, vinyl chloride, aromatic amines,
20 benzene, ethylene oxide, some of the classic
21 carcinogens that we have identified in
22 occupational safety and health.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Also, this slide again depicts
2 sort of the multi-stage process, but it shows
3 one other feature; that this process takes
4 time. And so we have the whole concept of
5 the latent period, the time between first
6 exposure and the appearance, the clinical
7 appearance, of indications of cancer.

8 And so on average, we think of the
9 latency period in chemical carcinogenesis to
10 be around 20 years. But we know that it is
11 variable for different types of cancer,
12 different doses, different types of
13 carcinogens. And so latency periods have
14 been shown in the literature to range from 5
15 to 40 years.

16 So NIOSH is mandated to study a
17 variety of hazards to workers, not only
18 carcinogens. Today we are focusing on
19 carcinogens, and they are clearly part of the
20 NIOSH mandate. And I am going to read this,
21 because this is a critical piece:

22 "NIOSH is mandated to develop

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 criteria dealing with toxic materials and
2 harmful physical agents and substances, which
3 will describe exposure levels that are safe
4 for various periods of employment, including,
5 but not limited to, exposure levels at which
6 no employee will suffer impaired health or
7 functional capacities or diminished life
8 expectancy as a result of his work
9 experience." This is the basis for our cancer
10 classification and our recommended exposure
11 limits.

12 We have a long history of
13 establishing recommended exposure limits for
14 carcinogens. To date, the NIOSH pocket guide
15 lists some 135 substances as carcinogens.
16 And NIOSH has developed recommended exposure
17 limits for most of these.

18 These are important tools for the
19 occupational safety and health community for
20 employers and workers, because often, in the
21 absence of a regulatory level or permissible
22 exposure level, companies utilize NIOSH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recommended exposure limits as de facto
2 in-house guidance, so that they try to use
3 that as the basis for their control programs.

4 So there is a long history and a large
5 impact of NIOSH recommended exposure limits.

6 So what we are talking about today
7 is cancer policy or occupational cancer
8 policy. And this is a brief history of
9 occupational cancer policy.

10 So if you recall, 200 years ago
11 Percivall Pott essentially made the first
12 observation or one of the first observations.
13 A hundred years ago it was animal testing.
14 In the '30s and '40s is when we started to
15 see the beginning of policy related to the
16 underlying science. So we have in Ontario,
17 workers' compensation for cancers related to
18 coal tar exposure. In Germany, we have
19 compensation for occupational lung cancer.

20 Then in the '70s, right after the
21 OSH Act, we have the emergency temporary
22 standard for asbestos. This was followed by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the OSHA standards for 14 significant
2 carcinogens and for vinyl chloride.

3 In 1976, NIOSH issued its cancer
4 policy in the form of a presentation by Dr.
5 Fairchild at a scientific meeting. And I'll
6 get into that in a bit.

7 In 1977, OSHA proposed a
8 regulation for identifying, classifying, and
9 regulating potential occupational
10 carcinogens. NIOSH testified in support of
11 that. That was enacted in 1980.

12 In 1985 and in the '80s, we
13 started to see the emergence of various
14 cancer hazard classification systems. So we
15 had the NTP and the IARC system.

16 Then in 1995, NIOSH revised its
17 cancer policy, not the classification part
18 but the part that relates to the
19 establishment of recommended exposure limits.

20 I'm going to go into some of these in
21 detail.

22 And then in 2010, triggered by our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 work on the "asbestos road map", where people
2 were concerned that we used the term
3 "potential occupational carcinogen," we moved
4 to establish an internal committee to review
5 the NIOSH cancer policy. That's the group
6 that has fostered this meeting today and is
7 moving to assess the policy and revise it.

8 So just amplifying some of those
9 issues, and where NIOSH's cancer policy stems
10 from, I refer to a paper published in the New
11 York Academies of Science by Fairchild,
12 "Guidelines for a NIOSH policy on
13 occupational carcinogenesis".

14 Much of the verbiage in the paper
15 talks about the growing concern about the
16 increase in the unregulated numbers and
17 quantities of synthetic chemicals. Back in
18 the '70s, chemical carcinogenesis, awareness
19 of it was growing rapidly. There were a
20 number of agencies that were being
21 established to deal with hazardous substances
22 and particularly carcinogenic substances in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 all components of the environment and the
2 work environment also. There were concerns
3 about the impact of these kinds of chemicals,
4 particularly on workers and particularly
5 involving cancer.

6 In the core of the policy were
7 these items here. In the absence of solid
8 evidence to the contrary, there is the
9 possibility of carcinogenic effect in humans
10 for any chemical conclusively shown to be
11 carcinogenic in one animal species. In other
12 words, if there was one study that showed
13 cancer in animals, that was enough to trigger
14 the labeling of it as a carcinogen.

15 Again, you have to remember this
16 is the time in the mid-70s when, while there
17 was a lot of information about chemical
18 carcinogenesis, it was still in a maturing,
19 evolving state. And the concern was to be as
20 protective as possible.

21 Consequently, in addition to
22 frankly malignant carcinogens or responses,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 benign neoplasms were also considered to be
2 an indicator of cancer. And so the concern
3 there was that in some cases, benign
4 neoplasms could transform into malignant
5 neoplasms.

6 Additionally, there was another
7 criterion -- I didn't have it on this slide
8 -- that any substance that reduced the
9 latency period for a particular cancer would
10 also be considered a carcinogen.

11 And, then, finally, the approach
12 to dealing with this kind of information was
13 that NIOSH would recommend generally no
14 detectable level or the lowest feasible level
15 of exposure.

16 So this was the core of NIOSH's
17 cancer policy. And pretty much it stayed in
18 existence and some parts of it are still in
19 existence today. Some have been changed, and
20 I will show you where the changes occurred.

21 In 1978, then, NIOSH testified on
22 the OSHA notice of proposed rulemaking for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 its cancer policy. And NIOSH supported that
2 it was in general agreement with this policy
3 and with the definition of potential
4 occupational carcinogen as stated in the OSHA
5 cancer policy.

6 NIOSH then used the term
7 "potential occupational carcinogen" for the
8 first time in 1978 in the glycidyl ethers
9 criteria document and used it subsequently in
10 various documents, criteria documents, and
11 current intelligence bulletins pertaining to
12 occupational carcinogens. And so, as I said,
13 this policy has continued to this day.

14 These are just details from the
15 OSHA cancer policy under potential
16 occupational carcinogen. And, essentially,
17 it was similar to what I mentioned for the
18 NIOSH policy for a potential occupational
19 carcinogen: any substance or combination of
20 substances that caused an increased incidence
21 of cancer, including benign and malignant
22 neoplasms in humans or at least one animal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 species by any route of exposure.

2 It did preclude results of tumors
3 in locations other than at the site of
4 administration. The focus here was for
5 dermal or IP [intraperitoneal] kinds of
6 studies to distinguish carcinogens that might
7 be an artifact of the method of exposure, as
8 opposed to an inherent effect.

9 And then any substance also that
10 has metabolized, it may not be a substance
11 that is carcinogenic in and of itself, but
12 once in the body, it becomes metabolized to a
13 potential occupational carcinogen. It was
14 also considered a carcinogen.

15 Then this policy persisted until
16 1995. At that time, NIOSH made a modification
17 in the recommended exposure limit part of the
18 policy, particularly because of advances in
19 the science and the ability to start to do
20 analyses of risk and to quantify those risks
21 and, in part, as a result of the benzene
22 Supreme Court decision.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, in 1995, NIOSH issued a policy
2 that said that the RELs will be based on
3 health effects from animal or human data
4 measurable by analytic techniques. But it
5 added the language that "RELs that could be
6 feasibly achieved by engineering controls."

7 At the same time -- that language
8 indicated that in some cases, there would be
9 a residual risk. But the 1995 policy said
10 that NIOSH would project the full range of
11 risks that various exposures could result in
12 and eventually select a limit that may have
13 some residual risk. So it was somewhat of a
14 departure from the 1976 policy that strove to
15 identify no detectable level or minimum
16 feasible risk.

17 As I said, since the 1970s, there
18 have been many advances in cancer science.
19 And this slide depicts four categories of
20 those. There has been great understanding of
21 the mechanism of chemical carcinogenesis. I
22 showed you some of the slides that depict

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 some of the richness of that understanding:
2 the multi-stage nature, the involvement of
3 genes and oncogenes.

4 There has also been a capability
5 now to look at vast numbers of chemicals with
6 high-throughput methods so as to identify
7 potential carcinogens that would then be
8 subject to further animal bioassays. So this
9 is a new approach.

10 As I said, there is also the
11 ability to identify, to utilize genetic and
12 epigenetic data to identify high-risk
13 subgroups. One of the things that has not
14 been done in the cancer policy is to identify
15 where there were individual subgroups that
16 could be at high risk. Should there be
17 specific standards for people who are at
18 particularly high risk due to various genetic
19 characteristics?

20 And then, finally, we are at a
21 point now where we may not have the
22 wherewithal to individually go through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specific chemicals one at a time, but we have
2 now the development of new approaches in
3 terms of hazard and control banding that may
4 allow us to think about groups of chemicals
5 and recommended exposure limits or at least
6 guidance for those groups of chemicals.

7 So that brings us to today. We're
8 here to see public input on the revision of
9 the cancer policy in terms of both the cancer
10 classification and the development of
11 recommended exposure limits.

12 So we have a number of ways of
13 doing this. We will have this public
14 meeting. As I said, we have the electronic
15 docket. We would appreciate particularly
16 comments in writing, but we welcome your
17 comments here today. And, as I said, the
18 docket will close for comments on December
19 30th of this year.

20 Here is the schedule that we hope
21 to follow and we have been following since
22 December 2010. We have been doing committee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 work internal to NIOSH. This is the public
2 meeting.

3 Following this meeting, building
4 on the work that we have done internally,
5 building on your comments and the comments in
6 the docket, we will put out a new policy or a
7 clarified policy sometime in the spring of
8 2012. We will have a public review of that
9 document, probably another public meeting.
10 And then we hope to aim toward publication in
11 the fall of 2012.

12 PARTICIPANT: What is the URL for
13 that docket again?

14 DR. SCHULTE: Sorry.
15 CDC.gov/NIOSH/docket.

16 PARTICIPANT: Thank you.

17 DR. SCHULTE: Now, the meeting
18 today will go through the five questions that
19 were posted in the Federal Register. I'm
20 going to just go through them briefly. We
21 will then have comments on each one. And
22 then we'll have general comments at the end

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of the day.

2 First question, should there
3 explicitly be a carcinogen policy, as opposed
4 to a broader policy on toxicant
5 identification and classification?

6 In other words, if we're going to
7 have a carcinogen policy, why don't we have a
8 reproductive toxicant policy or a
9 neurotoxicant policy? Is there any value in
10 having a specific policy for carcinogens or
11 having a more generalized policy?

12 Second, what evidence should form
13 the basis for determining that substances are
14 carcinogens? How should these criteria
15 correspond to nomenclature and
16 categorizations, such as known or reasonably
17 anticipated, et cetera?

18 In other words, there are various
19 classification systems that are in existence
20 that allow for more nuanced interpretation of
21 the scientific information of its sufficiency
22 and certainty. How should the NIOSH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 carcinogen policy relate to that kind of
2 thinking?

3 Should 1 in 1,000 working lifetime
4 risk for persons occupationally exposed be
5 the target level recommended for exposure
6 limit, the REL for carcinogens, or should a
7 lower target be considered? Again, for
8 those -- most of you are familiar with it.
9 The 1 in 1,000 is the level that the Supreme
10 Court identified in the benzene decision as
11 at least the level where action would be
12 taken. And so 1 in 1,000 is what NIOSH has
13 been using because we provide our information
14 generally not only to employers but to OSHA.

15 And that is the level that OSHA has been
16 using in recent years. Should we think of a
17 different level of lifetime risk?

18 In establishing recommended
19 exposure limits, how should we interpret the
20 phrase "to the extent feasible"? As I said,
21 we have historically used a very minimal
22 definition of "the extent feasible," meaning

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if it could be done in a single facility or
2 even in some cases if it could be envisioned
3 as capable of being done on the horizon, such
4 that it in some cases might even force the
5 technology a bit. What is the opinion of
6 people on this issue?

7 And then lastly, in the absence of
8 data, what uncertainties or assumptions are
9 appropriate for use in the development of
10 recommended exposure limits? What is the
11 utility of the action level, and how should
12 the action level be set?

13 So these are the five questions
14 that we will be discussing today. And at
15 this point, I will invite the panel to come
16 up. And we will begin the discussion of the
17 first question. So if the panel would come
18 up?

19 We have two hand-held mics, so you
20 can use these. Please use these when you
21 have questions. Identify yourself for the
22 record. And we'll start with a minimum -- or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a maximum of five minutes for comment. And
2 if we get through and there is still more to
3 say, people can have a second five minutes.

4 It is suggested that maybe you
5 come up to the podium. If you want, you can
6 come up to the podium, I guess, or you can
7 speak from your location.

8 So the floor is open. The first
9 question is: should there explicitly be a
10 carcinogen policy, as opposed to a broader
11 policy on toxicant identification and
12 classification? And so the floor is open for
13 your comments. I take it by your silence
14 that you don't think there should be a --

15 (Laughter.)

16 DR. SCHULTE: Maybe before we get
17 to the questions, we'll take a moment to just
18 see if anybody has any opening remarks that
19 they want to make regarding the cancer policy
20 in these deliberations today.

21 (No response.)

22 What if you gave a party, and no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one showed up?

2 (Laughter.)

3 DR. SCHULTE: So, ladies and
4 gentlemen, this is a meeting to get input
5 from the public, so we're looking forward to
6 your thoughts. Clearly, this audience is not
7 all in agreement with the approach we are
8 taking or doesn't think that it should remain
9 the same. So I would love to hear some
10 comments. Here you go, sir.

11 DISCUSSION OF 5 QUESTIONS

12 DR. SIVIN: Darius Sivin, UAW.

13 I would like to endorse the idea
14 of NIOSH developing policies for other health
15 endpoints besides carcinogens but not as a
16 replacement for its carcinogen policy.

17 I think NIOSH should finish the
18 revision of its carcinogen policy on the
19 schedule it has more or less presented today
20 and then proceed to reproductive toxicants
21 and other kinds of health endpoints but
22 should not -- I would be concerned that if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you tried to throw it all in one basket, it
2 would never get finished and there would be
3 no policy.

4 DR. SCHULTE: Other comments?

5 MR. KOJOLA: Bill Kojola, AFL/CIO.

6 Yes. I would agree with Darius's
7 comment. I think NIOSH has had a carcinogen
8 policy for more than 35 years. Clearly, this
9 is a major undertaking to issue a revision. I
10 think NIOSH should stay focused on revising a
11 policy that is explicit for carcinogenic
12 substances and make it more relevant to the
13 21st century.

14 So I think that if you were to
15 interweave this into a much broader policy
16 about a whole host of other toxic chemicals,
17 that the whole system would literally bog
18 down and the carcinogen policy, a new one,
19 would not see the light of day.

20 I think it might be useful if
21 NIOSH were, once it finishes a revised cancer
22 policy, to think about whether or not it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appropriate to have policies on other
2 classifications of toxic chemicals and that
3 given time, resources, and importance, you
4 know, make some decisions internally about
5 whether or not it has the capability to do so
6 and then move that forward.

7 DR. SCHULTE: If I could draw you
8 out just a bit, so, implicit in or even maybe
9 explicit in what you said was your belief
10 that there should be a revision to the policy
11 given the issues that I have raised today?

12 MR. KOJOLA: That's correct.

13 DR. SCHULTE: Okay. Other
14 comments?

15 MR. GLENN: Bob Glenn, Glenn
16 Consulting Group.

17 I tend to agree with the previous
18 two comments. I stepped out for a moment, so
19 there may have been more than two.

20 But I think, also, whenever you
21 look at an agent, you need to somewhat
22 consider the total body of evidence about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 toxicology of the material. And certainly
2 there are some situations where there may be
3 a non-malignant process, at least to cancer.
4 And quartz comes to mind.

5 I think there is growing evidence
6 that if crystalline silica and quartz are
7 carcinogenic, it's possibly related to
8 silicosis being a mechanism. So I think
9 those things need to be considered as well.
10 I am sure you would. But I just thought I
11 would point that out.

12 DR. SCHULTE: Thank you.

13 Other comments? Anyone on the
14 phone? Did you raise your hand, sir? No.
15 Anyone else?

16 (No response.)

17 Okay. I don't think that's such a
18 meaty question. I am going to just move on
19 to the next one, get into something with a
20 little more oomph to it. We can certainly
21 reflect back on any of these.

22 What evidence should form the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 basis for determining that substances are
2 carcinogens? How should the criteria for
3 this evidence correspond to nomenclature and
4 categorizations used in other
5 classifications?

6 In other words, should NIOSH think
7 about establishing a policy that is more
8 nuanced, that allows for uncertainty in the
9 sufficiency of evidence to be part of the
10 classification? Comments? Yes, sir?

11 MR. NAPIER: Dan Napier.

12 I guess what I want to do is ask
13 you a question back. Are we saying, should
14 we make this more acceptable to others or
15 listen to other criteria or is NIOSH going to
16 be able to say, here is an outline of
17 different items that we can consider? How do
18 we open that consideration, and exactly how
19 far -- are you asking, how far should NIOSH
20 go as far as accepting what studies from
21 where or are you simply saying: what further
22 definitions should NIOSH develop so that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

(202) 234-4433

1 can then make more favorable or more easily
2 compare other data?

3 DR. SCHULTE: What we're saying is
4 that there are a lot of uncertainties in the
5 evidence base for determining whether
6 something is a carcinogen.

7 Right now, the policy is that if
8 there is one study in animals that shows
9 cancer -- tumors, be they malignant or
10 benign, that is adequate. Is that a
11 sufficient kind of basis to use for
12 determining a hazard classification or should
13 we have a more robust basis?

14 Should there be multiple species
15 or, another type of example, what if we have
16 various kinds of in vitro studies that show
17 progressions of biologic changes consistent
18 with cancer? Would that serve as appropriate
19 evidence in making a cancer classification?

20 Organizations like NTP and IARC
21 have classification systems that allow for
22 uncertainty. We have one category.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.

1 Something is or isn't a potential
2 occupational carcinogen.

3 And so what is the opinion of
4 people about the advisability of that or
5 should we think of another approach? Do you
6 want to ask a question or do you want to
7 follow up on that?

8 MR. NAPIER: Well, my own opinion
9 of course is that we should have a more of a
10 best approach to these items.

11 DR. SCHULTE: In the back here?

12 DR. MELIUS: Yes. Jim Melius,
13 Labor.

14 The first question -- I'll start
15 with, actually, the second question -- is
16 that certainly a dichotomous approach for
17 classification, which NIOSH uses now, there's
18 a lot of shortcomings in terms of what it
19 communicates both to people working as well
20 as professionals working in the field and to
21 regulatory agencies.

22 And the level of scientific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information that is usually available for
2 most or many substances that we are
3 evaluating for carcinogenicity is usually
4 fairly complicated and includes multiple
5 different types of information, and a
6 dichotomous classification system simply
7 doesn't capture that complexity very well.

8 I think the question what do you
9 replace it with and then how many categories,
10 what do you call those categories, and then
11 how do you fit the available evidence to
12 those categories is sort of a separate
13 question, but I think, first of all, the
14 issue is, you know, is the current system
15 adequate? And I think it is inadequate.

16 It is misleading in many different
17 ways given the current scientific knowledge
18 of the amount -- just sort of the volume of
19 information we often have on particular
20 substances. Having just one classification
21 really can be misleading, doesn't capture the
22 fact that for certain substances, we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 much more definitive information -- Paul, you
2 used asbestos as an example. I think there
3 are many others from all along the spectrum,
4 that the field of occupational health would
5 be better served if we had a more complete
6 classification system similar to what is
7 already in place by many other groups around
8 the world.

9 DR. SCHULTE: What ones of the
10 existing classification systems do you think
11 are admirable -- or not admirable but should
12 be considered to be possibly modeled after or
13 even adopted in that case?

14 DR. MELIUS: The ones I am most
15 familiar with off the top of my head would be
16 -- I mean, certainly IARC - I think what is
17 important is not only what is -- I think
18 three things. One is number of levels you
19 have in the classification system. One, what
20 do those -- that nomenclature that you use,
21 what does it convey?

22 Does it sort of fit how the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people, scientists, people working in
2 occupational, environmental health, how they
3 sort of generally consider a substance that
4 there are meaningful differences between
5 categories; and then, secondly, that you have
6 clear rules on how you classify things within
7 those particular -- those systems?

8 And, for example, both NTP and
9 IARC have developed fairly explicit
10 approaches to classification. I just came
11 back from IARC. So that is what is on my
12 mind. And I am kind of familiar with that,
13 more familiar with that, at least recently.

14 And I think that system works very
15 well because, again, there's judgment
16 involved. The science doesn't always fit the
17 classification. But if you at least have a
18 clear set of rules that you follow or
19 guidelines that you follow for doing that,
20 then the people in the field understand that,
21 both from the regulatory side as well as the
22 professional side. Then I think it does help

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to communicate, better communication on what
2 we know about a substance, what its degree of
3 hazard and risk might be.

4 DR. SCHULTE: So there are sort of
5 two issues there. One is the content of the
6 classification system. Is another one the
7 issue of the transparency of the process?

8 DR. MELIUS: Yes. I think you
9 have to assume that -- I am assuming that
10 there is a transparent --

11 DR. SCHULTE: Right.

12 DR. MELIUS: -- process there that
13 involves I think significant peer scientific
14 input into that process. These aren't simple
15 judgments to make all the time. The science
16 is complicated. It can stretch over, back to
17 Percivall Pott, I guess. But, even over
18 time, the science has changed and so requires
19 a good understanding of the epidemiology,
20 toxicology, and some of the mechanistic work
21 that goes on now. And how does that all fit
22 together? What is good science? What is bad

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-2701

WWW.NEALRGROSS.COM

1 science?

2 So that is why I think it is
3 important that the classification system and
4 the guidelines you set up, you know, fit how
5 the scientific community to the extent that
6 there is agreement within the scientific
7 community, how that fits into the review of
8 the evidence and puts it into some sort of a
9 nomenclature system.

10 I think it is hard de novo to come
11 up with a nomenclatures system because people
12 have worked in the field. We are used to how
13 NTP does now. We are used to how IARC does
14 now. We are used to other policies within
15 other different agencies and so forth under
16 that, but I think -- which should make it
17 easier to do though I think there are some
18 decisions to be made as to how you think it
19 should best be done, what do you want to --
20 your communication to OSHA, your
21 communication to the field, and how you're
22 simply not just copying what another -- it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 somehow conveys that you are making an
2 independent evaluation. You are not just
3 accepting what IARC, NTP, or some other
4 agency has determined.

5 DR. SCHULTE: Does someone want to
6 speak? I want to follow up there for a
7 second. Then we'll get to that gentleman.
8 So how important do you think the independent
9 determination is? For example, NIOSH is part
10 of the National Toxicology Program, yet we
11 have our own cancer classification system.
12 What issues would preclude us from utilizing
13 the NTP system, for example, as our
14 classification system?

15 DR. MELIUS: I would think -- I'm
16 not saying that you couldn't use it, but I
17 would think that you would have to take into
18 account, one, NIOSH's focus on occupational
19 health.

20 The NTP has a broader mandate.
21 And, secondly, you have a mandate to make
22 recommendations to OSHA, which I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 believe NTP has, at least not formally,
2 though it certainly could be their review and
3 documents can be used in OSHA rulemaking or
4 other OSHA action.

5 But I think it's those two. It is
6 something different. I don't think that the
7 NTP system is something that is necessarily
8 appropriate for your mandates. It may be, but
9 I don't think so. I think it may take some
10 modification to do that.

11 DR. SCHULTE: Right. There are
12 some discordances between our classification
13 and NTP classifications already. Certainly
14 that would have to be addressed.

15 Let's see what this gentleman
16 wanted to say back here.

17 MR. BEGLUITTI: I was just going
18 to build a little bit on what he was saying
19 there at the end. Gino Begluzzi with NCEH.

20 I would caution against wholesale
21 adoption of a classification system because
22 in doing that, you also adopt the chemical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 specifics of that. If you take it from IARC
2 or if you take it from NTP and you have a
3 different end user, like he's saying, NTP
4 takes into environmental and everything. You
5 are basically occupational.

6 So I would caution against just
7 wholesale adoption of a categorization
8 process, but it is very hard to start off
9 brand new, so just something to think about.

10 DR. SCHULTE: Good. Good comment.

11 DR. SIVIN: Darius Sivin, UAW
12 again.

13 One example for which NIOSH should
14 be exercising its own judgments, workers are
15 occupationally exposed to ethanol. Ethanol
16 may also be carcinogenic by oral ingestion of
17 large quantities over long periods of time.
18 That would not be a route necessarily
19 relevant to occupational carcinogenesis.

20 So another agency might have a
21 reason to classify ethanol as a carcinogen
22 while simultaneously NIOSH might have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3704

WWW.NEALRGROSS.COM

1 reason not to classify it as an occupational
2 carcinogen. And that would be an important
3 reason for NIOSH to make its own judgments.

4 DR. WELCH: Laurie Welch with the
5 Center for Construction Research and
6 Training.

7 I get a sense there is definitely
8 support for a multi-layered carcinogen
9 system, but I want to support the
10 longstanding NIOSH approach of identifying
11 possible or potential human carcinogens based
12 on animal data. I wouldn't want to see a
13 classification system that required a very
14 high level of evidence before it is labeled
15 as a carcinogen, which could happen with this
16 process.

17 You could say, "Okay. Well, a
18 single animal study, well, that's not
19 enough." And in some classifications that
20 exist, that is not enough, but I think that
21 for protecting the workers in this country,
22 it is for beginning to identify those as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

nealross.com

1 potential human carcinogens. So they stay on
2 a list.

3 So there is some concern. It
4 raises concern within the manufacturers or
5 the workplaces that are using that. As we
6 were talking about it, I was thinking, "Well,
7 so what is the endpoint for NIOSH? What's a
8 NIOSH REL for?"

9 I mean, we like to think that OSHA
10 would take it and make regulations and maybe
11 before I die, we'll see a process that speeds
12 that up faster, both within NIOSH and within
13 OSHA. But it has a whole lot of other
14 benefits, basically putting, you know,
15 manufacturers or users, primarily
16 manufacturers of compounds on notice that
17 this potentially should be labeled as a
18 carcinogen.

19 And without NIOSH or NTP or some
20 organization putting it in the category of a
21 potential human carcinogen, that is not going
22 to happen. It is not going to happen just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 based on some animal studies existing.

2 So setting a criteria document,
3 having an REL of any kind, whatever we do
4 with the other questions starts action
5 happening outside a regulatory environment
6 that's I think very important. So I just
7 would emphasize that that current policy I
8 wouldn't want to take off the criteria that
9 are being used, but they could be nuanced
10 into different groups.

11 MR. KOJOLA: Bill Kojola, AFL/CIO.

12 I think there is no question that
13 the term "potential" is not a useful term.
14 And clearly your review of the asbestos work
15 had brought that to light. So, you know, we
16 need to have a classification scheme that
17 does have layering, some layering at least,
18 at least two categories known. And we
19 anticipated it or suspected or whatever,
20 whatever criteria you end up using.

21 You know, I think NIOSH really
22 needs to look at the various schemes that are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 out there, IARC and NTP, of course, but not
2 adopt those in totality and allow yourselves
3 as an agency to be dictated by whatever
4 chemicals IARC or NTP choose to evaluate and
5 to classify. I think that would put NIOSH in
6 a straitjacket that would not be useful for
7 those of us who work in occupational safety
8 and health.

9 An example, there may be
10 substances that NIOSH wishes to make some
11 hazard determination as to the
12 carcinogenicity that IARC or NTP aren't
13 dealing with. And then you're stuck.

14 You know, it might be several
15 examples that we can think of, ultrafine
16 titanium dioxide or carbon nanotubes, what
17 have you, that IARC or NTP might not address
18 for a considerable period of time. That is
19 an issue in the occupational health community
20 that NIOSH wants to and needs to speak up on.
21 So I would caution you not to just adopt
22 wholesale and allow yourselves to be wagged

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 by another tail.

2 DR. SCHULTE: Thank you.

3 Could I ask people who are on the
4 phone or on Envision to make sure you have
5 muted your system? We hear some background
6 sounds. Thank you.

7 Sir?

8 MR. NAPIER: Dan Napier again.

9 One of the things that I am
10 looking at -- I am a fairly practical guy --
11 is that in California, we have developed
12 about 14 new PELs in the last 4 years. So in
13 about 33,000 years, we will be through the
14 first 100,000.

15 And so there's just a huge -- my
16 suggestion there's a huge amount of
17 information out there. And I have always
18 looked to NIOSH for guidance and more of a,
19 yes, you produce a REL or some level, but I
20 am more thinking that from NIOSH, I am going
21 to get the kind of guidance that will assist
22 me in looking at something that is completely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different that nobody has looked at yet and
2 may not.

3 You've got a small, limited use of
4 some item. What are the appropriate
5 guidelines that I can use?

6 MR. GLENN: Bob Glenn.

7 I would just add my support to the
8 procedure where you would develop a
9 multi-bin, if you will, type of a process. I
10 am not sure what you call those or the
11 criteria for them certainly, but I think, you
12 know, there is a wealth of knowledge about
13 what we know about some materials and very
14 little evidence on others.

15 And, for instance, you know, the
16 one positive animal study, while I think that
17 has some -- certainly needs to be considered,
18 it also needs to be considered how sound is
19 that one positive study? And I think when
20 you start looking at animal experimentation,
21 it is important to look at multiple species.

22 Is there any sex-specific change

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or carcinogenesis you are seeing? Is the
2 dose appropriate? Is the route of exposure
3 appropriate and things like that? And that
4 might depend on where it would drop out,
5 similarly with epidemiology. You know, what
6 is the SMR, and have its confounders been
7 looked at sufficiently? The exposure is
8 fine. So I think you need to consider many
9 things when you do that and look at certainly
10 all of the evidence.

11 DR. SCHULTE: So you are
12 suggesting that we would have multiple
13 criteria based on the sufficiency of the
14 evidence, maybe multiple categories, then,
15 that result from that?

16 MR. GLENN: Yes. For instance, on
17 the SMRs but below 130 or the 130 to 200,
18 200-300, wherever -- you know, do you have
19 exposure response for those as well, the
20 things we normally do but have more criteria?
21 So you come to this decision logic where it
22 goes here and people know why it's going here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and such and takes some of the more judgment
2 out of it in some ways. But that would be a
3 thought.

4 DR. SCHULTE: Thank you.

5 There was a hand in the back.

6 DR. MELIUS: This is Jim Melius
7 from Laborers again.

8 Just a follow-up on Bob's comment.
9 I think that you always have judgment, but I
10 think that what is important is that whatever
11 your classification and system and so forth
12 helps you communicate what judgment went into
13 that. You do need sort of guidelines and
14 criteria, but at least if you have those
15 guidelines, you apply scientific judgment to
16 a process beyond that. Then it communicates
17 something to people in the field, although
18 they may not always agree with it.

19 It may change. Science may, new
20 science may, change it and so forth, but I
21 think if you have clear guidelines, I think
22 it does help the process a lot.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Just back in thinking about it,
2 you also ought to need to think about with
3 your classification system. So how does it
4 communicate into the field within NIOSH and
5 to other processes?

6 So to some extent, you are going
7 to use it as a basis for developing RELs, but
8 that process is slow. And it takes time and
9 may not be adequate information to do that in
10 a meaningful way at the point in time, but it
11 is one part of what you are communicating.

12 But given that this basically
13 should be a hazard determination, I think the
14 other point is that it also -- gentleman from
15 California mentioned that it actually also
16 helps to communicate with people in the field
17 on something new.

18 You alert somebody. But when you
19 are alerting them, you are also conveying to
20 them, you know, that there is a certain type
21 of evidence available for this particular
22 substance that would indicate, at least to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrross.com

1 the degree of hazard, what the scientific
2 evidence is and may not include an REL, but
3 it would help for people in the field to know
4 how should they be approaching trying to
5 control that particular substance.

6 And I think that is a really
7 important function for NIOSH. I think in the
8 past, it has worked well. It is certainly
9 something that can be done more quickly than
10 a full REL but it is very important.

11 DR. SCHULTE: So a number of
12 commenters have spoken about the risk
13 communication function that is attached to
14 the hazard classification. And I think
15 that's, in part, what you were saying. And
16 you also brought up the idea that there are a
17 range of classification outcomes that can
18 occur. So we might identify a substance for
19 which there is preliminary but disquieting
20 information about a potential carcinogenic
21 hazard versus a substance where there is a
22 well-established evidence base and we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3704

www.nealr-gross.com

1 deliberating on that.

2 And so if I heard you correctly,
3 you are talking about a system that can
4 address both of those kinds of situations so
5 that in some cases, we can do an alerting
6 function. In other cases, we are doing more
7 a confirmatory kind of function.

8 And so I think that makes thinking
9 about a system even more complex, but I think
10 it's a kind of complexity that we need to
11 address. So thank you for that.

12 It is now 10:25.

13 MR. ZUMWALDE: Paul, can I --

14 DR. SCHULTE: Yes?

15 MR. ZUMWALDE: Before we break,
16 here, can I just expand on that? I think one
17 of the things that sets NIOSH apart from the
18 other organizations, like NTP and IARC and
19 maybe GHS [Globally Harmonized System for the
20 classification and labeling of chemicals],
21 that are in the process of doing hazard
22 identification, is that the Institute as part

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrsgross.com

1 of its responsibility is to take the next
2 step.

3 So, whatever hazard classification
4 system we may want to derive, I think the
5 expectation is, what do those messages mean
6 in terms of risk management? And so as we go
7 through the process and look at a
8 classification system, in parallel, we are
9 going to be thinking about how we are going
10 to communicate that message for the hazard
11 classification in terms of what the
12 expectations are from a risk management
13 standpoint. And so we are interested
14 in terms of not only the classification
15 system, but we are also interested in terms
16 of how one might communicate that in terms of
17 risk management.

18 And, as I said, the other agencies
19 are just involved in hazard identification
20 and don't go through that additional step;
21 whereas, NIOSH feels that this is an
22 important step for us, whether it is an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-2704

www.nealrgross.com

1 exposure limit, or some other kind of action
2 in a workplace, maybe respirators, maybe
3 medical surveillance. Those are the kinds of
4 things that the Institute, will be thinking
5 about as we go through looking at the
6 classification system.

7 We are interested in any comments
8 you might have on a classification system
9 that would be appropriate for NIOSH to
10 consider, and also what are the implications
11 in communicating that classification, such
12 as, what are the expectations of workers and
13 employers for each of those particular
14 classifications.

15 DR. SCHULTE: And if you have
16 further thoughts on that after the break, we
17 will entertain them. So we will now take a
18 break until 10:40. Thank you.

19 (Whereupon, the foregoing matter
20 went off the record at 10:26 a.m. and went
21 back on the record at 10:43 a.m.)

22 DR. SCHULTE: Let's continue on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 331-4433

www.nealrgross.com

1 We were discussing question 2. We are
2 talking about other classification systems,
3 other ways of thinking about the evidence
4 that would form a classification system.

5 It was pointed out to me -- and we
6 have considered this. I didn't mention it.
7 There is a system that NIOSH and OSHA both
8 have supported publicly. And that is the
9 Globally Harmonized System for cancer
10 classification that came from the U.N. And,
11 indeed, that is a system that the U.S. is
12 going to adopt that OSHA has supported and
13 NIOSH has testified in favor of. It has
14 these three categories: category 1,
15 subcategory A, "known human carcinogen based
16 on human evidence;" category 1, subcategory
17 1B, "presumed human carcinogen based on
18 demonstrated carcinogenicity in animals;" and
19 category 2, "suspected carcinogen based on
20 limited evidence in humans or animals."

21 Clearly if the United States is
22 supportive of this through various agencies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the government then manufacturers will be
2 required to in some ways respond to thisThe
3 question would be, how would a NIOSH system
4 that is different relate to this or if this
5 doesn't have the levels of detail and nuance
6 that we have been talking about, are there
7 subcriteria that might be important or would
8 each of these -- could each of these have
9 different kinds of risk management potentials
10 that would follow from them?

11 So are there any thoughts about
12 the Globally Harmonized System, its utility,
13 how it fits in? It certainly puts a primacy
14 on human evidence, so known human carcinogen
15 if you didn't have human evidence, then the
16 highest category would be presumed human
17 carcinogen. And that could be based on
18 animal data or suspected carcinogen based on
19 limited evidence in animals and humans.

20 Any thoughts about that particular
21 one that people -- that particular
22 classification system that people have had?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Everybody seems to like it.

2 (Laughter.)

3 DR. SCHULTE: This commenter here.

4 DR. WISE: Kimberly Wise with the
5 American Chemistry Council.

6 I think that, as you mentioned,
7 since NIOSH has already been supportive of
8 GHS as well as OSHA, that you should make
9 sure that if you are going to adopt a
10 different classification system, that there
11 is some concordance with the GHS. You want
12 to make sure, obviously, that you are not
13 confusing industry by developing several
14 different types of classification schemes
15 that aren't in concordance with each other,
16 specifically the GHS classification system.

17 I think also a lot of the other
18 speakers have pointed out making sure that if
19 you are developing a classification scheme in
20 itself, that you really look at the full body
21 of evidence. And so you want to make sure
22 that there are some clear definitions in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 type of --

2 PARTICIPANT: Can you please pass
3 the microphone?

4 DR. WISE: Does it sound like it's
5 turned off? No? Yes?

6 DR. SCHULTE: Keep talking.

7 DR. WISE: Okay. So hopefully the
8 people that are online can hear me. I will
9 try to speak up a little bit louder. And
10 maybe it will come out a little bit clearer.

11 But I just want to make sure that,
12 one, if you are going to adopt a system that
13 you try to be in concordance with GHS because
14 it has already been supported by, like you
15 mentioned, NIOSH and OSHA, that if you are
16 developing a classification system, that you
17 really do look at the full body of evidence,
18 you look at biological plausibility in the
19 animal data that you have, the route of
20 exposures, as mentioned by a couple of the
21 speakers as well, so just to make sure that
22 if you are going to go from just the one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 category that you currently have, which is
2 possibly based on just one animal positive
3 result, that it is clearly understood what
4 those other categories mean and what type of
5 data is actually going into those categories,
6 especially looking at the quality of the data
7 that is going to be put into those categories
8 so when you are looking at the scientific
9 database and you have several animal studies
10 and you have epi data that is available, what
11 is the weight of the evidence?

12 So are you going to be taking the
13 weight of the evidence for the epi data in
14 higher consideration versus the animal data
15 that you have and if you in the absence of
16 epi data, is certain animal data going to be
17 given more weight? But you make sure you
18 have to look at the biological plausibility
19 of those, obviously the route of exposure and
20 making sure that the route of exposure is
21 applicable to the occupational exposure that
22 you are going to be setting your recommended

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-0704

1 exposure levels based on.

2 DR. SCHULTE: Right. So I think
3 you made two great points there. Certainly
4 the concordance issue is important. If NIOSH
5 comes out with a classification system that
6 isn't in concordance with the GHS system,
7 that I think could lead to confusion. So
8 certainly we need to look at the crosswalk
9 between those two.

10 The other thing is that for a
11 variety of classification systems, you have
12 the end category, but then you have
13 subcriteria to determine whether or not
14 something fits into those categories. And
15 that is where I think we will have some
16 possibility for some play and some
17 manipulation.

18 You identify various kinds of
19 criteria, the full body of evidence, and so
20 forth. Clearly that is where we might put
21 that as part of the criteria for whether
22 something fits into one of those categories.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So thank you for those comments.

2 There's another one back there.

3 DR. MELIUS: Yes. It's Jim Melius.
4 from the Laborers again.

5 I think that there are sort of
6 naturally those three general categories. I
7 agree with the previous speakers, the
8 comments on that, and I think the benefits of
9 that approach.

10 The only hesitation I have is I
11 think, one, NIOSH needs to think, are those
12 adequate for what you are using your
13 nomenclature for and your policy for under
14 that?

15 I don't think you want to go into
16 a system where you have ten categories and
17 that's just confusing. But I think at the
18 same time, you know, like you add a category
19 for inadequate evidence or no evidence.
20 Sometimes like knowing that there is no
21 evidence is very useful. It hasn't been
22 tested yet.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now, is that worth a separate
2 category? I don't know. But I think it's
3 sort of thinking about how the classification
4 system would be used and what does it convey
5 to people.

6 I don't think you want to get
7 beyond, you know, three, four, five
8 categories depending on how you want to
9 number them or whatever.

10 I think what is absolutely
11 critical is the determination basis for it.
12 Is that determination something that people
13 understand and can utilize, may not always
14 agree with it, but at least they understand
15 how those decisions are made and how those
16 guidelines might be interpreted?

17 And, then, secondly, does it keep
18 up with the science that has -- I mean, we
19 pointed out, Paul, this is a rapidly changing
20 science. And certainly critically in the
21 area of so-called mechanistic data, there's
22 lots of changes there that I think will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 probably become more and more important to
2 understand and more and more important to our
3 classification system as we appear to be
4 doing fewer long-term animal and
5 epidemiological studies that we have sort of
6 relied on in the past. And I think we will
7 have to rely on that more and coming to some
8 agreement. How that data fits into the
9 classification system I think is going to be
10 critical.

11 And I worry about adopting
12 somebody else's system, an assumption that
13 you would then parrot that system when, in
14 fact, you know, -- and this applies to IARC
15 or NTP or anything, where you may be out of
16 sync with them just in terms of timing, you
17 know, let alone in terms of how your
18 evaluations are being made.

19 So, again, it needs to be
20 compatible. It needs to be something that
21 communicates consistently. But at the same
22 time I think it has to be clear there is some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 independence of the evaluation there. You
2 are not replacing there, at least not under
3 the current scheme.

4 DR. SCHULTE: Right. And at the
5 same time that there is independence, there
6 has to be some way to say how they link or
7 how they relate to each other in some way.

8 DR. MELIUS: Yes, absolutely. Yes.

9 DR. SCHULTE: I think there is a
10 comment up here. Bob?

11 MR. GLENN: I would like to
12 certainly agree with Jim on that. And also I
13 think before our break, Ralph Zumwalde
14 pointed out a very important part of what
15 would be necessary for your carcinogen
16 policy. And that is, unlike IARC and NTP,
17 you need to go further than just hazard
18 identification. So that alone says that
19 there needs to be no doubt perhaps more
20 robustness to your policy than those mere
21 hazard identification policies.

22 And I also tend to agree. I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there needs to be concordance with GHS, but I
2 don't think that should drive you to solely
3 adopting something that is going to fall out
4 into one of those classifications.

5 I think one thing that I thought
6 of since we have started, too, and that is
7 yours is somewhat differently as well
8 because; whereas, the environmental agents
9 are generally just a single agent that a
10 population might be exposed to, which would
11 be a carcinogen, we have the possibility of
12 having multiple carcinogen exposures in
13 industry settings and certainly even
14 exposures to other materials that might
15 modify the action of a carcinogen, either
16 positively or negatively.

17 I'm not speaking pharmaceutical
18 industry but manufacturing therapeutic drugs
19 come to the mind, where people have exposures
20 that could affect multiple organs, could be
21 different mechanisms. I mean, it's just a
22 whole host of things that need to be thought

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of in that.

2 DR. SCHULTE: Clearly the multiple
3 exposure issue and the mixture issue have
4 been nagging aspects of this whole area for a
5 long time. To the extent that we can make
6 any kind of contribution to that, that needs
7 to be looked at.

8 I'm not sure what kind of
9 contributions, really, are -- you know, that
10 the group wisdom has on that thus far, but at
11 least acknowledging what we don't know may be
12 a step forward.

13 MR. ZUMWALDE: Yes. A couple of
14 the comments that I heard expressed concern
15 that adopting a current classification that
16 is used by someone else may not necessarily
17 meet the responsibilities of the charge of
18 NIOSH, that somehow those classification
19 systems may deviate in some way in terms of
20 what NIOSH responsibilities are.

21 In reality, though, the data sets
22 are pretty much the same in terms of any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 organization in terms of looking at the
2 hazard classification. So NIOSH would most
3 likely be looking at those same data sets
4 that other organizations look at.

5 What I haven't heard and what
6 might be of interest to it is if NIOSH would
7 adopt the same or very similar classification
8 systems, say, maybe NTP, would that be an
9 advantage to NIOSH in terms of maybe having
10 chemicals already gone through a process of
11 hazard identification and being classified as
12 a carcinogen?

13 Is that an advantage for NIOSH in
14 terms of not having gone through maybe that
15 process itself for those particular chemicals
16 but adopting their hazard classification,
17 say, NTP as an example since they have gone
18 through that process? Is that somehow an
19 advantage for NIOSH? And does that provide
20 some opportunity then for NIOSH to go the
21 next step in terms of applying whatever risk
22 management recommendations might be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON D.C. 20005-3701

www.nealrgross.com

1 appropriate?

2 DR. SCHULTE: Of course. And I
3 think that is a correct set of questions.
4 Clearly when we look at other systems, if,
5 say, another classification system has
6 identified something as a carcinogen by an
7 oral route, I think it would be incumbent on
8 us to ask the question, well, what does that
9 really mean for worker exposure and, indeed?

10 So in other classification systems
11 where they have that as the basis for a
12 determination, there would have to be some
13 stipulation of if NIOSH adopted that system
14 of us taking it the next step and asking,
15 "Well, what does that mean in an occupational
16 sense?" or the reverse is true.

17 For example, on titanium dioxide,
18 we stipulated that we were only talking about
19 occupational inhalation exposure of titanium
20 dioxide aerosols. We weren't talking about
21 titanium dioxide in food or in sunscreen or
22 things of that nature.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON D.C. 20005-3701

1 So, I mean, I think it's clear
2 that our mandate, our specific mandate, for
3 occupational issues needs to be a driver in
4 whatever interpretation of a system that we
5 use or a system that we develop.

6 Other comments? There is one in
7 the back, too, after Bob.

8 MR. GLENN: Another good point,
9 Ralph. I think, as you point out, I mean,
10 these people have gone through the process.
11 They have gathered the data. They have
12 analyzed the data, looked at it very
13 carefully.

14 I think for NIOSH, this could be
15 very good use for prioritization of which
16 ones you would want to tackle first. And by
17 doing that, you can look at such things as
18 what is the potency of the carcinogen that's
19 been determined by these other groups and
20 then start looking at occupational factors,
21 like how many people are exposed, what is the
22 exposure, is the route appropriate for what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-2704

1 is known from other exposures and things like
2 that? So I think it certainly would be
3 useful as a prioritization for your own
4 policy.

5 DR. SCHULTE: Is there someone in
6 the back?

7 DR. MELIUS: Yes. It's Jim Melius
8 again.

9 Just to follow up on that and your
10 comment, Paul. I mean, I don't think it
11 matters specifically which classification
12 system you adopt and what the exact names are
13 and so forth, but I think you can certainly
14 -- since you are going -- if you do go to a
15 multi-tier system, that you would be
16 basically utilizing the information that has
17 already been identified, whether it is by
18 NTP, IARC, MAK [Maximale
19 Arbeitsplatzkonzentration (maximum
20 concentration of a substance in the ambient
21 air in the workplace)], or whatever, that
22 have done these classifications, I think your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-2704

1 caveats, Paul, in terms of route of exposure
2 information like that are important. Plus,
3 there are always issues of timeliness of
4 information.

5 And, you know, I think many of us
6 here in the room fought the TLV [Threshold
7 Limit Value] update issue. And that becomes
8 critical. It also may be that you need to be
9 sure that whoever you're adopting from
10 actually considers the same type of
11 information.

12 But I don't think you are talking
13 about a straight across-the-board adoption.
14 You are talking about -- adaptation. I think
15 you are talking about a new review where you
16 might utilize the information that was
17 gathered as part of these other reviews,
18 classification reviews, and would be using
19 that for your own purposes. And, as Bob
20 said, you would be using it for
21 prioritization also. Is there a gap that
22 could be filled and so forth?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005 2704

www.nealrgross.com

1 I think it is appropriate. I
2 think for most substances, I think it would
3 be relatively straightforward. I do think
4 you would also end up -- you know, no matter
5 what you do, you end up refighting some of
6 the battles that have gone on in the past and
7 may still rage, again, without naming any
8 suspects in that, but it certainly is going
9 to raise issues where people have disagreed
10 with whatever was done with substance X.
11 They are going to take a new shot at it with
12 NIOSH.

13 DR. SCHULTE: Well, I mean, I
14 think part of the issue is not to have to
15 refight the same battles if you adopt a
16 system, a classification system that has
17 already vetted material in terms of its
18 classification. Why refight that battle?

19 DR. MELIUS: Well, I don't think
20 you can avoid it because I think the fight
21 isn't over the classification system. It's
22 over the --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

WWW.NEALGROSS.COM

1 DR. SCHULTE: Application.

2 DR. MELIUS: -- interpretation.

3 And I think invariably there is additional
4 information. I could be wrong, I mean, but,
5 you know, again, hypothetically, NTP makes a
6 classification, you know, in October, you
7 adopt it in November.

8 You know, it is pretty much going
9 to follow that. Again, there may be some
10 information you would want to do. But I
11 think adopting something that is older, a
12 year old even, there is new information.

13 If you look at at least the media
14 war over the IARC cell phone classification,
15 you know, immediately as soon as a new study
16 comes out, it gets touted as either
17 supporting or refuting the IARC
18 classification.

19 DR. SCHULTE: Right.

20 DR. MELIUS: So I think you are
21 going to have to deal with that issue anyway.

22 DR. SCHULTE: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 Could I ask those on the telephone
2 or Envision to mute? Thank you.

3 I saw another question. Bob and
4 then Laurie?

5 MR. GLENN: Bob Glenn.

6 One other thing I was thinking
7 about that as you put this together -- I am
8 not suggesting you do it, but you might give
9 it consideration. And that is as you develop
10 your policy and your criteria, you also
11 include what are the critical knowledge gaps,
12 it fell into this bin because this is what we
13 know about it, but what would have been nice
14 to have to make a better determination of
15 where it would be?

16 DR. WELCH: Laurie Welch.

17 I actually disagree with Jim a
18 little bit. I think by the time something
19 becomes a known human carcinogen, say by
20 IARC, new information is not going to undo
21 that.

22 There may be new information, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it takes so much information to get it into
2 that category that it's -- I mean, maybe 20
3 years later, something could change, but it's
4 unlikely. So I would like to see something
5 where NIOSH would have the flexibility to
6 adopt existing classifications.

7 Probably all the ones that are on
8 the IARC known human carcinogen list are
9 already on the NIOSH carcinogen list but to
10 not have to go through a totally complete new
11 review but some flexibility.

12 But if something is just a
13 possible human carcinogen and the data is ten
14 years old, you would want to look at it
15 again. So you wouldn't be stuck with the
16 categorizations, but you would have the
17 option, as Ralph suggested, of moving forward
18 quickly with ones that have been designated
19 as known human carcinogens.

20 Then, instead of spending a year
21 doing a review, if there is a way -- and that
22 is somewhat of an internal NIOSH process if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you can -- if it requires making a statement
2 that you are going to adopt somebody else's
3 list to be able to shortcut that review, then
4 you have to do it.

5 If you can do it internally as a
6 procedure without necessarily having to state
7 it, that would probably be preferable. Make
8 the judgment based on the evidence.

9 But I would hate to see NIOSH
10 spending time doing detailed reviews on
11 things where it's well accepted and the
12 evidence is there but still having to go
13 through a process where someone pulls all the
14 papers and you have a committee and you have
15 peer review.

16 I mean, I think about the
17 "asbestos road map," took I don't know how
18 many years. You know, National Academy
19 Committee. I mean, that was really overdone,
20 a peer review of a peer review of a peer
21 review, reminded me of Love Canal.

22 You know, it was kind of like it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was -- yes, it was controversial. Some parts
2 of it were controversial. But it just seemed
3 that amount of time -- you can't spend that
4 on everything. You won't be able to move
5 forward.

6 DR. SCHULTE: Right. And I think
7 the realization that a number of speakers
8 have pointed to is that it is the actions
9 that stem from the classification that may be
10 the more important thing.

11 So what risk management guidance
12 do we develop or what kind of communications
13 do we develop, everything ranging from an
14 alert about a concern to full-fledged risk
15 management strategy for something that is
16 clearly carcinogenic?

17 I think we need a system that
18 looks at the range of actions as well as the
19 classification and then also that looks at
20 the criteria that feed into the
21 classification.

22 So there are really three areas

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where we can have some variability and
2 different approaches. So I think that has
3 been nicely drawn out by some of these
4 questions and comments.

5 Should we move on, then, to the
6 third question? Let's do that. I see no
7 hands waiting to speak on this topic. The
8 third question is, should 1 in 1,000 working
9 lifetime risk for persons occupationally
10 exposed be the target level for a recommended
11 exposure limit for carcinogens or should
12 lower targets be considered?

13 So just to clarify, again, we're
14 moving now from cancer classification to
15 recommended exposure limit development. This
16 is a generic issue, but we have chosen to
17 speak to it for all kinds of hazards. But we
18 have chosen to speak to it specifically
19 because we have had a lot of experience with
20 it in the area of carcinogens.

21 Again, the 1 in 1,000 risk level
22 derives from the Supreme Court benzene

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 decision. And it clearly has been used in
2 many of the latest NIOSH criteria documents
3 in the risk assessments and as a cut point
4 for the recommended exposure limits.

5 Any comments on this issue?
6 There's one there.

7 MR. KOJOLA: Well, this is Bill
8 Kojola. Well, the short answer about whether
9 or not NIOSH should use 1 in 1,000 is no. We
10 don't believe it should.

11 Let me just read you the two
12 sentences out of the benzene decision with
13 regards to this risk level of 1 in 1,000 that
14 I think are instructive because I think that
15 there are a lot of misconceptions about what
16 the benzene decision really said. It says,
17 and I quote, "Some risks are plainly
18 acceptable, and others are plainly
19 unacceptable."—

20 If, for example, the odds are one
21 in a billion that a person will die from
22 cancer by taking a drink of chlorinated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 water, the risk clearly could not be
2 considered significant.

3 On the other hand, if the odds are
4 1 in 1,000 that regular inhalation of
5 gasoline vapors that are 2 percent benzene
6 will be fatal, a reasonable person might well
7 consider the risk significant and take
8 appropriate steps to decrease or eliminate
9 it."

10 So, really, what we are talking
11 about is not something that is drawn in
12 concrete from the benzene decision that 1 in
13 1,000 is the pivotal point around which NIOSH
14 or even OSHA should be establishing either
15 recommended or mandated exposure limits. And
16 we are looking at, instead, a wide range
17 here, which I think needs to be sort of part
18 of our understanding of where this question
19 derives from and how we ought to be
20 approaching it.

21 Clearly there is a huge range here
22 of 1 in 1,000 to 1 in a billion. And that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 range in between those two limits is
2 something that I think is worthy of a policy
3 consideration's influence on how NIOSH
4 develops its RELs and, indeed, even on the
5 agency, OSHA, which is charged by statute for
6 actually establishing required and mandated
7 permissible exposure limits.

8 So I will end it there. I may
9 have other things to say later on as this
10 discussion unfolds, but, you know, that is
11 the context under which we are operating
12 here.

13 DR. SCHULTE: Thank you for
14 reading that and clarifying that. For people
15 who hadn't remembered where that fit in, that
16 puts a little more perspective on it.

17 Indeed, just to remind folks, our
18 current policy is that we communicate and
19 project a range of risks at all levels. So
20 from 1 in 100 to 1 in 100,000 risk, we
21 generally and routinely have been putting
22 those numbers in our criteria documents.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So one is the issue of we provide
2 to the public and stakeholders what the range
3 of risks are. Two, then we ascertain what we
4 think is a risk level that has a certain
5 health protection but has some level of
6 practicality. And so there are sort of two
7 issues there.

8 Now you are suggesting that maybe
9 -- you said that you didn't think that we
10 should use the 1 in 1,000 risk level,
11 presuming you were suggesting that we would
12 use a lower risk level, such as 1 in 10,000
13 or even lower. Is that what you were saying?

14 MR. KOJOLA: Correct.

15 DR. SCHULTE: So when you start to
16 do that, then you are essentially at levels
17 that are possibly quite difficult to achieve
18 and/or to measure. And OSHA certainly does
19 not use those kind of levels in developing
20 their permissible exposure limits.

21 So if we are to be of any service
22 to OSHA to have a recommended exposure limit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at 1 in 10,000 or 1 in 100,000 because it may
2 not be of utility, I would like to hear some
3 comment on that particular issue.

4 DR. SIVIN: Darius Sivin, UAW.

5 We would like to see NIOSH affirm
6 that, at least in principle, one loses no
7 right to protection by crossing the threshold
8 of the workplace. And that at least in
9 principle, workers are entitled to the same
10 de minimis risk of 1 in a million that EPA
11 says we have the other 16 hours of the day.

12 We can see practical reasons for
13 which NIOSH might issue specific RELs
14 associated with greater risk, but, in fact,
15 it may not be necessary for NIOSH to
16 establish a particular target level at all.

17 We have already discussed that
18 there are some substances for which we may
19 have the four data points from a single
20 animal study and other substances for which
21 we may have a very extensive epidemiologic
22 database.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

WWW.NEALGROSS.COM

1 So, for that reason alone, the
2 database in one case may permit estimating
3 concentrations that are associated with,
4 let's say, a risk level 1 in 100,000;
5 whereas, the more sparse database may lead to
6 uncertainties at levels of risk that low that
7 it would be essentially false precision to
8 even assert that you know that if you control
9 the such and such level, you are only going
10 to have 1 in 100,000 risk or whatever.

11 And so for those substances, it
12 might be reasonable to issue a REL that is at
13 the risk level that the database offers you
14 reasonable certainty that you are actually at
15 that risk level.

16 And that, the availability of the
17 scientific data alone might be the reason to
18 have different levels for different
19 substances, but we do think it is very
20 important that NIOSH assert in principle that
21 one loses no right to protection by crossing
22 the threshold of the workplace.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SCHULTE: Other comments?
2 Laurie?

3 DR. WELCH: Yes. Laurie Welch.

4 And if you were to say, "All
5 right. A 1 in 1,000 working lifetime risk
6 for developing an occupational cancer is an
7 important threshold," people have exposures
8 to multiple compounds, so -- both in mixtures
9 or just over their lifetime use -- you know,
10 there are categories of industrial products
11 that are known to contain 2 or 3 specific
12 carcinogens. So some industries you could
13 just count on it.

14 So 1 in 1,000 really translates
15 into, could translate into, 1 in 100 with the
16 multiple exposures. So I think it is
17 reasonable. And that, in a way, is why EPA
18 uses such a low level. One of the rationales
19 is there are sensitive populations but also
20 that people have multiple exposures over
21 their lifetime.

22 I think it is another reason that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 1 in 1,000 as a line seems too high, that
2 because it can translate into as you add them
3 up, if there are multiplicative risks, which
4 we don't quite understand the biological
5 effects of multiple exposures, but it may be
6 more than additive, you could probably fairly
7 quickly get up to something that is closer to
8 1 in 100 risk, which I think everyone would
9 agree was unacceptable.

10 DR. SCHULTE: Other comments?

11 (No response.)

12 DR. SCHULTE: The area that Dr.
13 Welch just brought up about multiple
14 exposures is again that area that we talked
15 about earlier. There is a growing literature
16 coming out of the environmental field for the
17 concept of cumulative risk assessment looking
18 at the risks from a variety of sources and
19 then somehow trying to sum those.

20 It seems that science is moving
21 ahead, albeit not rapidly, to a point where
22 we have necessarily the tools to use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 adequately, but it might be the kind of
2 scientific development and in the category of
3 scientific developments that we want to
4 consider. And maybe the guidance here is
5 that a realistic appraisal of risks needs to
6 include the universe or the environment that
7 the worker is in, not just for a single
8 exposure.

9 So any thoughts along those lines?
10 Any concerns about an approach like that?

11 DR. WELCH: Laurie Welch again.

12 I mean, I always have concerns
13 about models that are these mathematical
14 models with risk assessment because, you
15 know, the range of the variance around the
16 estimate is very high, but, as the document
17 goes forward and becomes part of some kind of
18 public policy, usually the understanding that
19 the -- it's just an estimate with a fairly
20 wide range kind of disappears.

21 So I would suggest approaching it
22 in a more heuristic qualitative way to sort

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of, instead of saying, "Oh, well. If this
2 person is exposed to styrene in the context
3 of exposure to some other carcinogen, then
4 you have to model it in," I think it would be
5 more to understand that in the occupational
6 environment, you can assume that there is
7 going to be more than one exposure to a
8 carcinogen in an industrial setting and use
9 that as a guideline to use a lower or higher
10 number, a lower risk, a higher number of
11 zeros when you set a level.

12 DR. SCHULTE: Before we get to
13 Bill, I just wanted to harken back to
14 something, actually, Bill said earlier, Bill
15 Kojola, that maybe a lower level of risk
16 would be useful, such as 1 in 10,000.

17 Does anyone have any concerns if
18 NIOSH started to develop RELs based on a
19 level that would protect against a cancer
20 risk of 1 in 10,000 or lower, about us doing
21 that, the utility of that, implications of
22 that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (No response.)

2 DR. SCHULTE: Okay. I'm sorry.

3 Bill? And then --

4 MR. KOJOLA: Yes. Actually, my
5 comment kind of gets to that.

6 DR. SCHULTE: Okay.

7 MR. KOJOLA: I mean, I think there
8 is utility in NIOSH using risk levels at
9 something lower than 1 in 1,000, 1 in 10,000,
10 1 in 100,000, what have you, in that it
11 establishes objectives for technology forcing
12 control measures and risk management in the
13 workplace that can have the effect of
14 lowering worker exposures and lowering their
15 risk.

16 And, you know, NIOSH is a public
17 health agency. You were not charged with the
18 responsibility of establishing legal limits
19 that employers have to contend with. You,
20 instead, have an opportunity here to push the
21 envelope so that we begin to enhance the
22 protection of workers who are exposed to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 carcinogenic substances.

2 And to the extent that you do that
3 by lowering risk levels, lowering risk
4 targets in your REL, you will be advancing or
5 at least have the opportunity to advance a
6 higher level of protection for workers.

7 And when you do that, even if it
8 is set apart from what OSHA is doing on the
9 regulatory front, that is an important
10 statement that workers and their unions and
11 employers can use to say, "Well, we need to
12 do something about this. We need to take
13 steps in our workplace to lower exposures, to
14 eliminate exposures. We need to use the best
15 science that NIOSH has on our risk management
16 techniques to do that in this workplace,
17 irrespective of what may be happening on the
18 regulatory front."

19 So I think this is one of the key
20 values that that information will convey to
21 those of us who are trying to grapple with
22 workers who are exposed to carcinogenic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 substances.

2 DR. SCHULTE: Thank you.

3 Up here?

4 MR. NAPIER: Dan Napier.

5 I guess, harkening back to some of
6 the earlier points about the other
7 discussions, my only concern is let's not get
8 bogged down, but I thought I heard you say
9 that you are referring to levels at different
10 risks than 1 in 10,000 -- and that's part of
11 your documentation. If it is, the discussion
12 gets kind of moot as far as whether it is set
13 at 1,000 for 1 item or 10,000 for another
14 item. I just hate to see something saying,
15 "Well, we are going to use this number, come
16 heck or high water," and that's it.

17 I don't know that that truly
18 provides a better level of protection.
19 Sometimes we get to points where, no matter
20 what I have, I can't detect it.

21 So you may publish a level that
22 says it has to be this but we can't get there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON D.C. 20005-3701

1 anyway, we can't measure it in the field, we
2 can't tell what it is. What have we done?
3 We haven't served, we haven't truly served,
4 the working people.

5 It is mythical. We have done
6 something that doesn't serve the people, the
7 person that is operating the equipment.

8 DR. SCHULTE: I think in a sense,
9 those last two comments sort of show the
10 poles of that discussion to some extent.

11 Over there?

12 MR. SCHWEITZER: John Schweitzer
13 with ACMA.

14 Just a note. Your question was,
15 does anybody object to an approach at 1 in
16 10,000? I would like just to -- pardon me I
17 guess for the legal disclaimer. A lack of
18 statement at this point doesn't imply an
19 agreement with that, or disagreement. And
20 wait for our written comments, please.

21 DR. SCHULTE: Yes. I appreciate
22 that. Thank you for that clarification.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BURNS: I have a comment. Can
2 you hear me?

3 DR. SCHULTE: Yes. Identify
4 yourself, please.

5 DR. BURNS: My name is Dr.
6 Kathleen Burns. I'm the Director of
7 Sciencecorps in Lexington, Massachusetts. I
8 have been working in risk assessment for
9 about 30 years. I wrote a book on
10 quantitative risk assessment in occupational
11 and environmental health in 1985.

12 My comment is that to a great
13 extent, we are not really talking about the
14 benefits of taking a de minimis approach to
15 the occupational risk, which might be in the
16 1 in a million or 1 in 10 million, as a
17 target. And by recognizing that hazard, we
18 satisfied many objectives of pushing towards
19 greater safety, but also massively reducing
20 the human harm, and also the attendant
21 medical costs and other societal costs.

22 And I wonder if we can also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 include in how we think about the 1 in a
2 million or 1 in 10 million the issue of
3 substitution and also of medical monitoring.

4 If it is acceptable to impose a
5 risk level that is 1,000 times greater than
6 what we think of as acceptable for the
7 general public, should there be a mandate at
8 that point towards some kind of medical
9 monitoring for workers and improved medical
10 services programs associated with that in
11 order to have an explicit recognition of the
12 underlying costs that are being imposed by
13 having people exposed to higher levels of a
14 lot of these very well-established
15 carcinogens?

16 DR. SCHULTE: Good. Thank you.

17 Yes?

18 DR. SIVIN: Darius Sivin, UAW.

19 We have some employers whose goal
20 is mere compliance with the law and other
21 employers who assert that they want to be
22 world-class in occupational health.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We don't believe that you can be
2 world-class if you merely comply with a law
3 that allows 1 in 1,000-- or in some cases,
4 under OSHA standards, more people than that--
5 to get fatal occupational cancers.

6 And it would certainly help us in
7 pointing out to employers that you can't be
8 world-class under those conditions if NIOSH
9 had recommended exposure limits that
10 represented considerably lower risks based
11 on, as I stated before, in my opinion the
12 available data for particular substances,
13 rather, I think, than based on that there
14 should be one single target, no matter what
15 the data actually looked like.

16 DR. SCHULTE: Let me just read
17 again from the OSH Act, section 20(a)(3),
18 "NIOSH is mandated to describe the exposure
19 levels that are safe for various periods of
20 employment, including, but not limited to,
21 exposure levels at which no employee will
22 suffer impaired health or functional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3704

www.nealrgross.com

1 capacities or diminished life expectancy as a
2 result of his work experience."

3 So I think in that section, there
4 is some appreciation that there could be some
5 residual risk in a workplace setting. And I
6 think that legislation is different than the
7 environmental legislation. And, indeed, that
8 is one of the differences we have had to deal
9 with in occupational safety and health for
10 many years.

11 And it may be that it is just the
12 practicality of recommending a level that
13 can't be measured, as this gentleman said,
14 while it may have some technology forcing --
15 and I agree that we should be forcing the
16 technology -- there has to be, it seems, or
17 one might believe that there should be some
18 sort of weighing of both the forcing nature
19 of the recommendation as well as the
20 practicality, or at least the likelihood that
21 something can happen as a result of the
22 classification and recommendation that will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 better protect workers.

2 Does anyone have a thought about
3 that?

4 DR. MELIUS: Jim Melius.

5 I would just like to go back to --
6 it's relevant to that point but also to
7 something that Darius pointed out without
8 getting into the next question as sort of
9 what goes into extent -- to what extent is a
10 given level feasible, but I think it is
11 important to note that not only within a
12 given industry are there large differences in
13 how well people -- manufacturer, society, or
14 employer -- decide to control exposures but
15 between industries, there are significant
16 differences.

17 And so in the regulatory arena,
18 that tends to get lost for various reasons of
19 legal interpretation, apparently, but in
20 terms of what you are communicating, I think
21 you need to keep that in mind. And so setting
22 a risk level, taking into account

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON D.C. 20005-3701

www.nealrgross.com

1 feasibility, whatever goes on or whatever
2 else you decide to put in that risk level,
3 that is sort of the lowest common
4 denominator. What is the worst industry? What
5 has the most difficulty meeting that
6 situation or meeting that risk level is
7 unfair and is not very helpful to all the
8 other industries and workers, employees out
9 there who -- where certainly feasibility may
10 be at a much lower level of risk, and you
11 should be driving them and encouraging people
12 to do so, and not imply to them that they are
13 doing too much. They don't really -- this is
14 unnecessary.

15 DR. SCHULTE: I think it's
16 appropriate that Dr. Melius opened it. And I
17 think we were ready to transition anyway to
18 that next question. We can continue talking
19 about question 3, but we are now in question
20 4 in establishing NIOSH RELs. How should the
21 phrase "to the extent feasible" be
22 interpreted and applied?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Dr. Melius started that off. Any
2 further comments on that?

3 Again, feasibility, large-scale
4 feasibility, determinations have never been a
5 critical part of NIOSH recommended exposure
6 limits. We utilize the information that we
7 have gained from health studies that would
8 feed into setting the limit, but generally
9 our assessment of feasibility has been a
10 minimal one that identified if a facility
11 could achieve it or come close to achieving
12 it, that that would be sufficient. That is
13 clearly not a full-scale appraisal of
14 feasibility, nor does it address the comment
15 that was just made that there is quite
16 variable feasibility across industries.

17 Should we be thinking about the
18 term "feasibility" more? Should we be doing
19 more or is it really not a critical part of
20 thinking of a health-based recommendation.

21 DR. LENTZ: Paul, this is T. J.
22 Lentz with NIOSH.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON D.C. 20005-3701

www.nealrgross.com

1 I might also point out that we
2 have made it a point in our criteria
3 documents and intelligence bulletins that
4 NIOSH specifically not use the term
5 "technical feasibility" because we recognize
6 that OSHA has a very specific definition for
7 "technical feasibility." And, in fact, we
8 have actually used the term "technical
9 achievability."

10 And, as Paul indicates, it is a
11 much more generous term. And we have
12 indicated that if it can be accomplished in
13 as few as one facility, then that meets our
14 definition of "technical achievability" in
15 many cases. So I just wanted to point out
16 that distinction.

17 DR. SCHULTE: Good clarification.
18 Thank you.

19 Comments?

20 DR. WELCH: So I think NIOSH
21 should keep up with that same approach of
22 using what we might call a generous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 assessment of what is achievable. I think
2 that the issue of whether the substance can
3 be measured in the work environment at the
4 level that you had set the REL is a more
5 important issue than whether it is possible
6 to put in engineering controls that would hit
7 that REL because it makes it difficult.

8 I am not saying you should always
9 set one that is stuck with current
10 technology, but obviously you have to think
11 about it because as you want to give
12 employers guidance how to reduce exposures to
13 these hazards, the feasibility is part of
14 that, you know, whether you think they can,
15 examples, whatever it might be, but also
16 being able to measure its importance.

17 And I hear from NIOSH that is
18 something that is important to take into
19 account. And you generally have.

20 DR. SCHULTE: Yes. Historically
21 we valued, obviously, analytic feasibility,
22 ability to measure it. You can't give

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 guidance about triggering risk management
2 activities if you don't have any faith, if
3 you don't know anything about what the
4 exposures are and you don't have any faith
5 that you are at or near some target level.
6 So, indeed, analytic feasibility, I think,
7 has to remain a paramount concern after
8 looking at the health issues. So certainly
9 we have focused on that.

10 In the back there?

11 MR. KOJOLA: Yes. I think that
12 you just need to be careful about how you
13 apply the term "feasible." And you don't
14 want to create the impression or move in a
15 direction of considering feasibility, in the
16 ways that OSHA has to, when it establishes
17 permissible exposure limits.

18 And I really like what NIOSH has
19 done with regards to being mindful of the
20 capabilities to analytically measure
21 exposure. I think the most recent example of
22 that is your draft document that has an REL

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for carbon nanotubes and carbon nanofibers.
2 You pushed the envelope on the analytical
3 piece because that is as much as you could
4 take, but you also acknowledged that there
5 was also potentially some significant risk
6 that still exists at that exposure level.

7 Well, here you have a situation I
8 think where again this is acknowledging to
9 the community here that maybe we ought to
10 have some substantial work being done on
11 pushing the analytical techniques in ways
12 that can then cause NIOSH to reexamine its
13 REL in lowering the risk levels that are
14 attendant in that.

15 I think that is really important
16 kind of work for NIOSH to do. It's an
17 important kind of message for workers and
18 employers in, sort of, that sphere.

19 DR. SCHULTE: Folks, I have a
20 thought here that we will finish talking
21 about this question. And we will get into
22 the last question. I am thinking we could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wrap this whole session up before lunch. We
2 may go a little longer and then not come back
3 in the afternoon.

4 But I am here. This is a public
5 meeting. You are the public. We are here to
6 get your input. But if there is general
7 agreement that we pretty well are exhausting
8 the topics and everyone has had plenty of
9 chance to speak, then we will still allow the
10 people who wanted to make prepared statements
11 do so. Does that seem like a reasonable way
12 to proceed just to maybe wrap it up by 12:30
13 or so? I'm seeing heads nod, hands up.

14 So, okay. We will continue on
15 talking here about the extent feasible. And
16 then we could add in the other question, too,
17 which gets into the whole question of the
18 action level, its utility, and approaches to
19 the action level.

20 So that area is open for
21 discussion from anyone.

22 MR. ZUMWALDE: Can I? Let me add

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- this is Ralph Zumwalde - as Paul had
2 mentioned, analytical, what we call
3 analytical feasibility, has always been
4 important in terms of our RELs. And that has
5 gone back, even into the '70s.

6 One of the things that happens,
7 though, when we consider feasibility,
8 especially analytical methods in this
9 particular case, is that the REL that NIOSH
10 may end up adopting or deriving may be set at
11 some level that maybe it is not 1 in 1,000.
12 Maybe it is a little bit higher risk. It is
13 not a level that we probably would have
14 proposed if we had an analytical method that
15 could measure that particular agent in the
16 workplace.

17 But what happens over time is that
18 those RELs have stayed in place for a long
19 period of time. And there is always this
20 question about improvements in analytical
21 methodology.

22 And so I guess from our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 standpoint, too, I guess there is the need
2 for us to have at least some feedback in
3 terms of if we take into account this issue
4 of feasibility, whether it is analytical or
5 engineering, what things should NIOSH have in
6 place in terms of looking at improvements, or
7 doing improvements, in whatever needs to be
8 done, whether it's analytical development or
9 something that deals with controls. And how
10 do we work that into a process in terms of
11 where we're going back and considering
12 revising that particular recommendation.

13 So I guess the point is that while
14 that is important in terms of considering the
15 issue of feasibility or achievability in
16 developing an exposure limit, that particular
17 limit may not be set at a level of risk that
18 is health-protective.

19 And so how do we stay on top, or
20 what needs to be done from NIOSH's
21 perspective to make sure that, if that REL
22 should be lower, what actions need to be done

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to improve the effort on achievability?

2 DR. SCHULTE: Up front here.

3 MR. NAPIER: Dan Napier again.

4 Well, following up on what Ralph
5 was saying is that one of the things that can
6 be done is simply adding the caveat to use
7 best available technology, and acknowledge
8 those issues.

9 And, of course, the other thing I
10 would ask is for NIOSH to give me a better
11 method.

12 DR. SCHULTE: Other comments? In
13 the back?

14 DR. MELIUS: Yes. Jim Melius from
15 the Laborers again.

16 I think what would be important is
17 that in your development of RELs or whatever
18 it is, being as explicit as you can be about
19 the basis for the different parts of the
20 achievability, or feasibility determination
21 that goes in.

22 In some cases, it may be based on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

WWW.NEALRGROSS.COM

1 analytic feasibility. In some cases, it may
2 be you may want to take into account
3 workplace achievability and so forth, even in
4 cases where there may be a better analytical
5 method. But I think it is important that you
6 provide as much information as you can, which
7 I think you traditionally have done.

8 Though I am not always sure you --
9 I think you tend to focus on a number and
10 communicate around that number, rather than,
11 you know, giving a broader picture of what is
12 achievable analytically, say, whatever.

13 But I think if you are going to
14 have a process where you might update or
15 things change over time, then having that as
16 explicitly communicated is important because
17 the analytical approaches change over time.
18 What is feasible now, or may not be feasible
19 now, becomes feasible.

20 There are also I think practical
21 issues that come up in play in terms of what
22 type of workplace you are trying to look at,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and what is reasonable to expect from an
2 employer. There may be some very sensitive
3 methods that just aren't practical to put in
4 place in the workplace.

5 The other thing, there may be
6 things like asbestos, where it ought to be
7 that it's banned. It should be banned. So
8 maybe you find information, another substance
9 that would fit that categorization also and
10 where, really, I don't know if you need to
11 talk, then, about analytical feasibility.
12 That shouldn't take place.

13 I think your overall REL needs to,
14 you know, just take into account a number of
15 factors but do it as explicitly as possible
16 so it is communicated to people working in
17 the field, as well as people exposed, and
18 they understand what the basis of that is
19 for. I think that also communicates better
20 to OSHA and other regulatory agencies about
21 why you selected that number.

22 MR. KOJOLA: Yes. This is Bill

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Kojola again.

2 Just one quick comment. Yes, I
3 would agree with what Jim just said, that it
4 is important for you to outline, you know,
5 the underlying rationale for how you
6 establish your REL.

7 But one of the great values of
8 NIOSH is, not only your expertise in your
9 role in developing this policy and
10 establishing recommended exposure levels, is:
11 you are a research agency.

12 And when some of these research
13 issues are clearly identified in the document
14 that you used to establish an REL, you know,
15 that helps to set, or should help to set,
16 your research agenda. So that, for example,
17 issues about analytical techniques being
18 insufficient, that would help derive and
19 drive your research agenda as well, not only
20 for the agency, but for other researchers who
21 are active in occupational safety and health.

22 DR. SCHULTE: Thank you. Any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comments on the action level? Anyone have
2 concerns about abandoning the action level
3 approach or modifying it? Right now, as I
4 said, it is generally formulaic, half the
5 REL. But it may be that there are other ways
6 to do it, a tenth of a REL, or something that
7 is based on the variability of the data in
8 any -- the measurement data in any particular
9 plant. So if you have any comments on that,
10 we would love to hear them.

11 DR. SIVIN: Darius Sivin, UAW.

12 We find that except for our
13 largest employers, who directly employ a lot
14 of occupational health resources, many of our
15 other employers simply don't understand the
16 action level. That is to say, if they take a
17 measurement and it is below what is legally
18 required, they think they are done.

19 I would rather, I would much
20 rather, see an approach where NIOSH would
21 identify a level that is associated with
22 whatever target risk we are talking about,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 and then choose a REL that would guarantee
2 that, let's say, 95 percent of the time a
3 measurement below that REL would guarantee
4 that the average exposure was below the
5 number that was associated with the target
6 risk because then you could approach that
7 employer, which, let's say, they are very
8 good business people but they have never had
9 a stats class, they don't really understand
10 variability and probability, and you just
11 approach them and you say: here is the target
12 level, and if you measure below this target
13 level, you will know that most of the time,
14 folks will be okay.

15 That would be a much more
16 practical approach that we could actually use
17 the numbers much more practically in dealing
18 with your typical medium-sized employer.

19 DR. SCHULTE: Thank you.

20 Go ahead.

21 MR. ZUMWALDE: The action level
22 concept historically has been important both

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for workers and employers to have the ability
2 in their workplace, with minimum resources,
3 to be able to make some kind of an
4 identification as to whether or not -- I'll
5 use the word "compliance," whether or not
6 they're below the occupational exposure limit
7 for a particular substance.

8 The concern that NIOSH has, and as
9 Paul explained, is that the whole concept of
10 setting this action level at one-half the OEL
11 goes back to the '70s, where the data sets
12 that were used to develop the action level
13 were based on a very limited exposure data
14 set from a very small industry group.

15 And that particular data set
16 indicated that the variability in exposure
17 had a GSD that was somewhere between one and
18 two. And so it allowed efforts to develop
19 criteria for setting an action level at 50
20 percent that would give you 95 percent
21 confidence in that only 5 percent of the
22 samples would exceed the action level.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But since the '70s, there has been
2 a lot more data that has been gathered from a
3 lot of different occupational groups,
4 industry sectors. And I think it is pretty
5 clear that the exposures within any sector
6 are highly variable, and that having an
7 action level that is set at 50 percent would
8 really underestimate exposures. And that
9 given this high exposure variability, that if
10 you wanted to use that concept of an action
11 level, with 95 percent confidence, you may be
12 talking about having an action level that
13 would be one-tenth of the occupational
14 exposure limit.

15 So, what NIOSH is interested in is
16 whether or not the concept of an action
17 level, using the same kinds of criteria that
18 were developed for setting an action level at
19 50 percent, is still reasonable; and that
20 NIOSH should use that same approach in
21 looking at exposure data sets and making an
22 appropriate recommendation. Or, are there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other risk management approaches that may
2 accomplish the same thing, that would provide
3 the worker and the employer with a way of
4 looking at their particular workplace, given
5 some limited amount of resources, and be able
6 to make some kind of interpretation as to
7 whether or not action needs to be taken?

8 DR. SIVIN: Darius Sivin, UAW
9 again.

10 In terms of actually dealing with
11 most employers, if OSHA sets an action level
12 and a standard, that action level is
13 enforceable. And so I can say, "You have
14 measured above such and such. Therefore,
15 here is the standard that requires you to do
16 something."

17 I don't see a NIOSH action level
18 per se as useful because the employer is not
19 required to do anything. And the employer
20 will just look at me and say, "Well, if there
21 is a risk to their employers, why didn't
22 NIOSH set the exposure limit lower?"

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I am thinking that you set your
2 REL at ten percent of your target exposure if
3 that is what your database actually supports
4 in terms of the variability of the exposure.
5 Because employers will understand that.

6 MR. ZUMWALDE: I agree. There is
7 this misconception in terms of what the
8 purpose of an action level is. It is not a
9 health-based number. It is a statistically
10 derived number to give you some understanding
11 and perspective of what your exposures are
12 with respect to the OEL.

13 So I know there is that kind of
14 confusion. And maybe that comes into play in
15 terms of what NIOSH is looking for in terms
16 of comments. So maybe there are other risk
17 management approaches that may be a little
18 clearer to implement. And it may be of more
19 value than using an action level concept.

20 DR. SIVIN: Yes. Just once you
21 use the term "statistically derived" or
22 "GSD," the employer's eyes are glazed over in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 many cases. And you are lost.

2 DR. SCHULTE: But clearly we have
3 to de-glaze any communications that we -

4 MR. NAPIER: Dan Napier again.

5 We are getting into the realm of--
6 one of the other things is a sampling
7 criteria. And also what I would say is: why
8 don't we use or more clearly accentuate the
9 95 percent confidence interval and use that
10 as a better method? Because as an industrial
11 hygienist, I have been in my practice for 35
12 years, I have generated an awful lot of
13 left-censored data. For people in the room
14 who don't know what that is, that is
15 non-detect data.

16 And so generally I find either
17 non-detect data or identify a problem. But
18 very seldom am I in a situation where
19 somebody is just a little bit below the PEL
20 and we don't do anything about it.

21 DR. SCHULTE: Thank you.

22 So we welcome further comments on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this, and other questions to the docket. I
2 will take a couple of more oral questions.
3 Then we will go to the statements, the people
4 who have registered to give statements. So
5 Dr. Melius?

6 DR. MELIUS: In following up on
7 your de-glazing approach here, could you
8 just clarify two of your questions? One is in
9 question 5, you have, "In the absence of
10 data, what uncertainties or assumptions are
11 appropriate for use in the development of
12 RELs?" I wasn't sure what you were trying to
13 get at there.

14 And then you also have a complex
15 mixture question at the end. I'm just not--
16 sort of searching for what you are searching
17 for here. We sort of jump to action level
18 and --

19 DR. SCHULTE: Right. I think that
20 was a collection question for all the other
21 things that we hadn't addressed. Certainly
22 how we include, in our classification and REL

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 development, how we include uncertainty in
2 the evidence base, how we weigh that. For
3 example, sometimes in risk assessments we
4 will use uncertainty factors to address that.

5 So are there any particular
6 thoughts that people have about including
7 uncertain information in the classification
8 or REL development, was essentially the main
9 driver?

10 And then on the risk, the issue of
11 mixtures, I think we have talked about that a
12 number of times.

13 DR. MELIUS: I didn't have any
14 comments. I just wanted to try and
15 understand what you were --

16 DR. SCHULTE: Right. Right. Yes.
17 That was a little bit confusing. Thank you.

18 Okay, we have a number of people
19 who have identified that they wanted to make
20 statements. Now, they may have said most of
21 that, and they are welcome to say that. And
22 they can use the podium for this purpose. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON D.C. 20005-3701

www.nealrgross.com

1 I will just go down the list. Essentially we
2 will talk about five minutes per person if
3 you still want to speak.

4 The first one was Bill Kojola from
5 AF of L.

6 OPEN PUBLIC COMMENT PERIOD

7 MR. KOJOLA: I don't have anything
8 more to add than I have already had the
9 opportunity to do so.

10 DR. SCHULTE: Okay. Thank you.

11 And, again, you all have
12 opportunities to further extend your remarks
13 or add new remarks to the docket.

14 DR. LENTZ: Paul, before we go to
15 those comments, too, do you want to see if
16 anyone on the line wants to pose any other
17 questions to us here?

18 DR. SCHULTE: Okay. Right. We
19 have been open to anyone on the line, but is
20 there anyone on the line who has further
21 questions or comments?

22 Hearing none, we'll proceed. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 next presenter who registered was Anna
2 Fendley. You are welcome to use the podium
3 or sit there, whatever you --

4 MS. FENDLEY: Anna Fendley with
5 the Steelworkers.

6 I don't really have much else to
7 add. My colleagues have said a lot of useful
8 things. Just we think that NIOSH has a real
9 opportunity here to advance protections for
10 workers. And we hope that they take it. And
11 we look forward to a draft in the spring that
12 outlines a very transparent process.

13 DR. SCHULTE: Thank you.

14 Next is Darius Sivin.

15 DR. SIVIN: I would just like to
16 add two brief comments to what I have said
17 before. One is that the National Research
18 Council Science and Decisions: Advancing Risk
19 Assessment, otherwise known as the Silver
20 Book, has extensive discussions on dealing
21 with uncertainty. And I think it would be
22 good to consult those in developing NIOSH's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 carcinogen policy.

2 Also, on complex mixtures, which
3 was asked about but we didn't have too much
4 discussion today, some of the existing means
5 of dealing with complex mixtures that I think
6 NIOSH should consult, include the TLV mixture
7 formula, the ACGIH reciprocal calculation
8 method for refined hydrocarbon solvent
9 vapors. EPA's relative potency factor and
10 toxic equivalency factors approaches are a
11 couple of others.

12 Also, most of those methods have
13 specific assumptions, which should be made
14 clear if NIOSH applies them, such as
15 toxicologic independence or toxicologic
16 similarity. And so if you do analyses of
17 complex mixtures, make those assumptions
18 explicit.

19 Also, there are some heterogeneous
20 mixtures, for which maybe none of those
21 methods would be appropriate because the
22 assumptions underlying the methods are not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 met. And I think NIOSH might be able to do
2 some research in identifying some of the more
3 common mixtures actually found in the
4 workplace, and proposing methods to deal with
5 those.

6 DR. SCHULTE: Thank you.

7 Next we have Kathleen Burns by
8 teleconference.

9 DR. BURNS: Yes. Amanda Hawes was
10 going to be speaking on behalf of Worksafe
11 and Sciencecorps. And I just notified her
12 when you initially announced that you might
13 accelerate the schedule to call in. She is
14 calling in from California. So what I would
15 request is that you allow us to speak last.
16 And hopefully she will be on the line by
17 then.

18 But if not, I can say something or
19 if Ms. Dorothy Wigmore is on the line, she
20 may want to speak. She is at Worksafe.

21 DR. SCHULTE: Okay. Hearing no
22 one speak, we will put you last and hope Ms.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 Hawes calls in. And if she doesn't, she can
2 certainly put her remarks in the docket. And
3 you can speak in a wrap-up position and
4 anyone else who represents that group.

5 Moving on, then, to John
6 Schweitzer.

7 MR. SCHWEITZER: I'm going to come
8 up to the podium.

9 DR. SCHULTE: Right. All right.

10 MR. SCHWEITZER: I am John
11 Schweitzer with the American Composite
12 Manufacturers Association. And we do really
13 appreciate the opportunity NIOSH has provided
14 to have input on this very important project.
15 And we will be submitting some extensive
16 written comments, but I wanted to use the
17 opportunity today to take a step back and
18 make perhaps some more philosophical
19 observations and suggestions about NIOSH and
20 its role in occupational safety and health.

21 Let me start off by saying that I
22 represent an industry of about 3,000

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 predominantly small companies that use
2 chemicals to make products. And there are
3 some things that characterize small chemical
4 processors, one of which is that they are
5 relatively risk-averse. By that I mean that
6 it is not uncommon to find that the owner,
7 her family members, and her neighbors work in
8 the plant. And the idea that we could somehow
9 trade off injury and illnesses to make money
10 is anathema to these people. They would
11 rather shut up-- shut the business and become
12 real estate agents than hurt anyone. And so
13 they are very serious as a group about safe
14 and healthy workplaces.

15 Another thing that distinguishes
16 this group is that guidelines, particularly
17 those that are precautionary or progressive
18 or technology-forcing in nature without
19 consideration, without specific
20 consideration, of practicality and
21 affordability of control, are of no benefit.

22 What does the small business owner do with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 this idea that, well, here is a target? And
2 maybe someday somebody will invent a device
3 that you can afford to put in your plant that
4 would control to this level.

5 Well, this is like, well, yeah. I
6 could put my plant on the moon, too, but of
7 what use is that to me? In fact, it is worse
8 than of no value because those sorts of
9 pronouncements by the government drive costs
10 for liability insurance. They drive costs for
11 worker's comp insurance. They drive these
12 small business owners into court to deal with
13 tort suits. All of that cost and burden
14 without any real risk assessment.

15 And that's for small businesses
16 and can be an enormous strain on their
17 viability and can be an enormous impediment
18 to employing people in this country.

19 The final point to make in terms
20 of context setting, is that we don't, as an
21 industry, have the resources to fight a
22 battle on, or to work with -- let me not set

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that in a military metaphor-- but to work
2 with multiple regulators on the same issue.

3 It is conceivable over the next
4 few years that NIOSH, OSHA, and Cal-DOSH are
5 all going to be doing rule-making activities
6 on the same topic. That is insane. I told my
7 board of directors that we were going to
8 participate in a NIOSH activity on cancer.
9 And they said, "Aren't we in the middle of
10 that with OSHA on GHS? Why are we doing that
11 again?" I had a hard time explaining to them
12 why a second occupational cancer activity is
13 necessary.

14 And it is not just the regulatory
15 agencies. We have some issues with
16 combustible dust. And it is not enough for me
17 to participate in OSHA's combustible dust
18 activity. I also have to go to NFPA [National
19 Fire Protection Association] and worry about
20 that as well.

21 And so this is not efficient. And
22 it strains our ability to bring our resources

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 and our information to bear when there are
2 multiple regulatory or regulatory-type
3 agencies working the same issue.

4 So, having set the context for my
5 perspective about this, let me get to my
6 points here. So we thought about how NIOSH
7 can profitably contribute to worker
8 protection. And there are two things we came
9 up with. One is that we can reduce, that
10 NIOSH could serve to reduce rule-making
11 burdens based by OSHA.

12 My companies really need OSHA
13 standards that are protective and reasonably
14 affordable and achievable. That is what they
15 depend on. And that is their best source of
16 information for protecting their employees.
17 And everything else that is out there becomes
18 noise and is very hard for them to make good
19 use of it.

20 So we need good and effective OSHA
21 standards. And anything that NIOSH can do to
22 help OSHA do more-- more productively and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 efficiently do rule-making-- we would be in
2 favor of.

3 And the second idea that we came
4 up with was that NIOSH may be able to do and
5 conduct and manage productive programs that,
6 while they are productive and helpful, may
7 not fit in OSHA's traditional rule-making
8 process. I have got some examples of both of
9 those things.

10 In terms of reducing rule-making
11 burdens faced by OSHA, undoubtedly, one of
12 the most difficult things that OSHA has to
13 consider are matters of practicality and
14 affordability, particularly for small
15 businesses.

16 I mentioned combustible dust. We
17 have been helping our industry with
18 combustible dust for a long time. And when
19 OSHA introduced their national emphasis
20 program on combustible dust and instituted a
21 rule-making, I thought, well, this is going
22 to run aground when they come to small

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 businesses. And I understand, in fact, at
2 this point the OSHA combustible dust process
3 has come to a stop because OSHA has
4 discovered things to do about combustible
5 dust that fit in large companies, but for
6 small companies are just wildly unaffordable
7 or impractical. And what do we do about that?

8 So I think that if NIOSH could
9 devote some of its considerable resources to
10 looking at affordability and practicality up
11 front when we come to a hazard and do a lot
12 of that work, collect information, do
13 analysis, decide where the cost-benefit
14 returns are for different industry segments.

15 I think that could really give OSHA a head
16 start in getting a rule-making out the door.

17 And I think more on that point is
18 that -- and I alluded to this earlier -- for
19 small businesses, any sort of guidance that's
20 free of a meaningful consideration of
21 affordability and practicality is very much a
22 two-edged sword.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Yes, it can be a helpful target.
2 But without knowing how to get there, and
3 whether or not the cost is proportional to
4 the actual risk reduction, makes that product
5 of very limited usefulness to smaller
6 companies.

7 Now, on the other idea about
8 programs and activities that we think could
9 be helpful and productive that may not fit
10 into OSHA's rule-making process, our idea is
11 that NIOSH could facilitate and manage the
12 operation of stakeholder groups working to
13 prepare what I am un-artfully calling here a
14 pre-rule-making document.

15 And I just have a couple of
16 minutes here or less. And, really quickly,
17 Cal-DOSH has a process for their PEL updates,
18 where there is an expert panel that meets in
19 public. So stakeholders can come and
20 participate in those meetings. So it is
21 extremely transparent.

22 And that process then produces a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 document that goes to the staff. And that is
2 the beginning of the formal rule-making
3 process.

4 So all of the stakeholder issues
5 are on the table up front: matters of
6 agreement and disagreement, data gaps that
7 the agency is going to have to fill in are
8 identified, et cetera, et cetera. So we
9 think that is a process that gives the agency
10 a head start.

11 And, actually, even though there
12 is a commitment up front of perhaps a year to
13 run the stakeholder group on a particular
14 topic, we think it dramatically lessens the
15 chance that stakeholder groups are busy
16 trying to derail the thing at the end because
17 they are unhappy with it, which ties things
18 up and often results in things having to be
19 done over again, which is highly inefficient.

20 So those are our two basic
21 suggestions about how NIOSH might function to
22 help OSHA get rules out the door quickly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Thank you.

2 DR. SCHULTE: Thank you, Mr.
3 Schweitzer.

4 Let me just note, too, that NIOSH
5 is a research and guidance agency. We are
6 not a regulatory agency, but I appreciate the
7 comments. And we will take them to heart.

8 Next is Joel Tickner on
9 teleconference. Joel Tickner? All right.
10 We'll move on to Charlotte Brody on
11 teleconference.

12 DR. SCHULTE: Okay. Moving on to
13 Dana Casciotti on --

14 MS. CASCIOTTI: I don't have
15 anything to add.

16 DR. SCHULTE: Okay. Thank you.

17 And Aaron Trippler? Aaron? I
18 heard your name before. Aaron Trippler?

19 DR. SCHULTE: Okay. We're back to
20 Dr. Burns.

21 DR. BURNS: I think the difficulty
22 is that very few people can -- you know, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really respect the people who are here and
2 are spending the day, but very few of us in
3 the field can take an entire day to
4 participate. So these other people, I know,
5 did really want to be able to speak directly
6 to those of you at the meeting. And I
7 haven't heard anything from Mandy yet.

8 So, Mandy, are you on the line? I
9 guess not.

10 I am just going to say a couple of
11 really brief things. And I appreciated the
12 insight of Mr. Schweitzer as a small business
13 representative there, because obviously we
14 need to understand their thinking.

15 My only point that I want to just
16 mention that I don't think was discussed in
17 any detail is the issue of goals versus what
18 you might consider regulations, or
19 requirements or standards, in both the
20 environmental realm in the U.S. and in other
21 countries in both occupational and
22 environmental health.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We see the establishment of goals.
2 And, for example, the drinking water
3 standards across the United States have goals
4 that are zero for carcinogens. And, of
5 course, that's I guess you might say a
6 combination of a political, medical, and
7 scientific statement that what we would like
8 to have is no exposure to these, but in
9 recognition of the reality, usability,
10 practicality, affordability, and so on, there
11 are standards that are set.

12 But what the goals do -- and right
13 now the chemicals for which the goals differ
14 from the standards in that context aren't
15 primarily the carcinogens -- is that they put
16 people on notice: water purveyors,
17 companies, the general public. And they give
18 an alert, a head's up, that says, you know,
19 here is where we should be, where we would
20 like to be. We can't be there right now in
21 every case, but this is our objective, this
22 is our target. And I think it is a tremendous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 advantage to NIOSH doing something along
2 those lines, which is, of course, what they
3 have prior to the change in the way
4 carcinogens were handled during the 1980s.

5 You know, there are implicit risks
6 and costs associated with having exposure to
7 carcinogens, you know whether we believe in
8 the risk assessment calculations, which I
9 think have a great deal of uncertainty, or we
10 don't, there are clearly problems associated
11 that can be enumerated, even if they are over
12 a wide range.

13 In addition, most carcinogens to
14 date are genotoxic. And genotoxic
15 carcinogens impose birth defects that are
16 heritable risks that are passed from
17 generation to generation in many cases as
18 well as cancer risks.

19 So there are a lot of co-benefits
20 to controlling these, a lot of down sides to
21 not controlling these. And having this
22 information explicitly communicated by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 setting a goal that may be much lower than
2 what you set as an REL has an advantage.

3 And I would argue that this might
4 have more of an advantage for small
5 businesses, where they need that up-front
6 information, so that they have an opportunity
7 to perhaps change the processes, change the
8 chemicals that are used, change the personal
9 protective gear, and so on. And they may
10 deserve some special attention as far as
11 being identified as reasonable locations for
12 pilot projects to control some of these
13 chemicals to get closer to that goal so that
14 they can, in effect, be setting the gold
15 standards for other companies that may have
16 more resources.

17 So my only comment is just that if
18 NIOSH is able to look at living in the
19 context of the 1 in 1,000 or feasibility or
20 these other contexts that are covered in the
21 Federal Register as an issue of goal versus
22 regulation and, perhaps, putting out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information on both of those, that it would
2 be quite a service to the general public, and
3 I believe also to the companies that are
4 trying to do their best on these issues.

5 DR. SCHULTE: Well, thank you very
6 much.

7 Is there anyone else, then, who
8 wants to speak? Anyone on the phone in the
9 teleconference? Dr. Melius back there?

10 DR. MELIUS: This is Jim Melius
11 from the Laborers.

12 Just briefly two things. First of
13 all, I would encourage you in your thinking
14 of going forward in terms of process that
15 when you come up with your draft policy, that
16 you also lay out, sort of, what your
17 follow-up plans are for implementing that
18 policy.

19 I am not sure how explicit that
20 policy will be in terms of, for example,
21 guidelines for classification, but I think
22 that there are many from throughout the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scientific occupational health community who
2 I think would benefit. And you would benefit
3 from input on that part of the process.

4 I think it also helps to educate
5 the wider community on what your
6 classification is, and what it is based on.
7 But it is a little hard for us to comment on
8 criteria without having more of a context for
9 it and understanding better what your
10 classifications would be and so forth.

11 DR. SCHULTE: Right. And we
12 intend to do that. This meeting was to
13 gather opinion and to build that. And we
14 wanted to make sure we had at the front end
15 the opinion of stakeholders.

16 But, then, I appreciate what you
17 are saying, that we need to describe how it
18 will be implemented, and that approach as
19 well.

20 DR. MELIUS: And the second
21 recommendation I would have is that you give
22 serious consideration to developing as part

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 of your policy-- when one goes to a
2 multiple-level classification system, I think
3 it also allows you to communicate to some
4 extent about risk management.

5 It may not convey totally risk,
6 but it conveys something about the hazard.
7 And it ought to alert people as to what steps
8 they should be taking in the workplace to
9 address the potential, possible, or known
10 risks from that particular substance or
11 exposure. And I think having that explicitly
12 at least outlined in your policy would be
13 helpful to the wider community.

14 It is going to vary by substance
15 to substance as you go into more detail. But
16 certainly when something goes from a suspect
17 to a probable or a known carcinogen, I mean,
18 that certainly ought to convey to the
19 community something about how the exposures
20 to that substance should be managed in the
21 workplace.

22 And I think that, given how long

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it takes for rule-making, given how long it
2 takes for developing RELs and so forth, that
3 having some sort of a communication that is
4 part of the overall policy in that area would
5 help.

6 I believe that is feasible. I
7 think that can be done fairly. There may be
8 some exceptions to it, but I think it really
9 would be an important part of what you do in
10 this revised policy.

11 DR. SCHULTE: Thank you.

12 Comments?

13 DR. MacMAHON: This is Kathleen
14 MacMahon with NIOSH.

15 I just wanted to mention that
16 NIOSH has assembled all of the background
17 documents and policy statements that are
18 related to this effort on one web page, on
19 the NIOSH website.

20 If you go to the NIOSH home page,
21 which is www.cdc.gov/niosh, it is a spotlight
22 on the home page. And you will find there a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 compilation of many of the historical
2 documents that Dr. Schulte mentioned this
3 morning.

4 And as this work continues, that
5 is where we will put draft documents and
6 other resources related to this effort for
7 those who are interested in keeping up with
8 the topic.

9 CLOSING COMMENTS AND NEXT STEPS

10 DR. SCHULTE: And when we actually
11 have the draft policy, we will put out a
12 Federal Register notice announcing that and
13 put it on the web for public comment, most
14 likely followed by a public meeting to have
15 people amplify their comments. After that,
16 we will then reflect on all of those comments
17 and issue the final document.

18 So last call, then, for any
19 comments?

20 We appreciate the time that people
21 have spent coming here, the thoughtful
22 comments that people have given. And we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certainly look forward to any written
2 comments that you want to submit to the
3 docket, and then ultimately to your comments
4 on the draft document.

5 So thank you once again for being
6 here. And at this time, we will adjourn the
7 meeting.

8 (Whereupon, the foregoing matter
9 was concluded at 12:16 p.m.)

10

11

12

13

14

15

16

17

18

19

20

21

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701