

1 the processes, and if we can -- we feel very  
2 comfortable that the exposures are certainly less  
3 than this. They assume no filtration at all on  
4 these beams and open collimation. We -- there's a  
5 pretty -- Ron Cathryn\* did a very good job working  
6 out the defaults for these X-ray exposures. I  
7 think it's pretty solid science.

8 DR. ZIEMER: Jim Melius,

9 DR. MELIUS: I appreciate the commitment to  
10 doing a -- I don't know what to call it, a site  
11 profile outreach plan, but I was curious when that  
12 will be sort of public. When will we know about  
13 it and -- beyond the sites you've -- you've listed  
14 there, and I believe, if I understood you  
15 correctly, you mentioned doing pre-publication  
16 meetings at -- at a number of other sites. But  
17 could you sort of fill in a little bit on the time  
18 -- time frame, at least when we will know when  
19 something's going to happen and what sites you  
20 will visit, what ones you'll do public meetings  
21 out, to the extent that you can predict that ahead  
22 of time?

23 DR. NETON: I'm not prepared to address any  
24 more than what I discussed with the where we're at  
25 with the reach-out program with the individual

1 sites. But we certainly -- I think the plan  
2 itself is going to be approved and in place within  
3 -- I'll let Larry help me -- a week or two? I  
4 mean it's -- it's drafted, it's --

5 MR. ELLIOTT: It's very imminent, yes. It'll  
6 be on the web site very soon. I think we also  
7 have a tentative date for INEEL visitation, too,  
8 that wasn't on your slide.

9 DR. NETON: There was, yeah.

10 MR. ELLIOTT: That's in April, I believe.

11 DR. NETON: April. But we will get the plan  
12 out there, and then as the schedule is developed  
13 we'll make sure that it's out there with the plan  
14 so that people know where we are.

15 DR. MELIUS: That was what I was specifically  
16 asking. I wasn't asking you to give me the plan.

17 DR. NETON: Okay.

18 DR. MELIUS: Okay?

19 DR. NETON: Okay, that's fine.

20 DR. MELIUS: Secondly, at the last couple of  
21 meetings we've raised the issue of conflict of  
22 interest among the people conducting the site  
23 profiles under -- under contract. And if I  
24 understood correctly from the last meeting, Larry  
25 or Jim, somebody was working on a plan to address

1 that and I want -- again, like have an update on  
2 that.

3 DR. NETON: Again, we heard the comments. We  
4 took it very seriously. We've had ORAU go back  
5 and take a look at their conflict of interest plan  
6 and there is a revised draft out there -- it is  
7 being internally reviewed right now -- that,  
8 again, we should be able to have out very soon.  
9 It's not finalized yet, but there is a plan to  
10 address some of the Board's concerns.

11 DR. MELIUS: On this one I'm a little bit  
12 more concerned about the timetable for that  
13 because you seem to be moving so rapidly with  
14 these and assigning -- if I understood you  
15 correctly, assigning new ones or subcontract,  
16 whatever you call it, new ones. And I'm assuming  
17 they're being subcontracted under the old plan.  
18 We've had several examples of at least what I  
19 consider to be very disturbing assignments under  
20 the old site profile contracting, and I guess --  
21 when you say soon, I guess if you could be a  
22 little bit more specific, I might feel more  
23 comfortable with it. But you know, if it's going  
24 to drag on again, I think -- and we continue to  
25 assign under the old rules, I think we're just

1 compounding what's already a serious credibility  
2 issue.

3 DR. NETON: Yeah. It's difficult for me to  
4 predict. I know it's been drafted and it's being  
5 internally reviewed. I can't give you a date on  
6 that. Dick may want to address the other issue,  
7 though, about people who are working --

8 DR. ZIEMER: Dick Toohey?

9 DR. NETON: -- on the plan.

10 DR. TOOHEY: Dick Toohey, ORAU. Let me just  
11 comment that subcontractor assignments for the  
12 next round are being made under our new proposed  
13 rules, so we are assuming OCAS will approve those.  
14 So your concern that we're making new assignments  
15 based on the old rules is not the case.

16 DR. ZIEMER: Thank you. Let's see, Mark, you  
17 have a comment?

18 MR. GRIFFON: Yeah, just looking at the  
19 matrix that you presented, Jim, I had a question.  
20 The one dot I didn't see on there was which --  
21 which of the DOE site profiles is -- are ready,  
22 completely -- all sections completed and ready so  
23 that the Advisory Board and their contractor can  
24 start --

25 DR. NETON: Good question.

1 MR. GRIFFON: -- to review --

2 DR. NETON: I meant to inform you of that.  
3 The Savannah River Site of course has been done  
4 for some time, and Hanford is fully complete, as  
5 well, at this time. Those are the only two that  
6 we have fully completed DOE site profiles on.  
7 However, there are a number that have two, three  
8 sections done that could be -- could be reviewed,  
9 although the total picture is not there. I think  
10 I said -- I think there's 24 individual chapters  
11 that have been drafted or Technical Basis  
12 Documents.

13 MR. GRIFFON: And also with the site  
14 profiles, I'm just thinking in terms of review,  
15 there's a lot of support documentation or  
16 references listed. Are those kept in an  
17 administrative record for the site profile or are  
18 they available electronically --

19 DR. NETON: All the documents I've discussed  
20 here or any of our site profiles are on our web  
21 site. All the ones I mentioned today are out  
22 there, available to the public.

23 MR. GRIFFON: Maybe I -- the ref-- even the  
24 references listed in a site profile, that's what  
25 I --

1 DR. NETON: Oh, the references in the site  
2 profile themselves? They're not included, but  
3 most of them -- it'd be difficult -- I mean some  
4 of these reference -- some pretty voluminous  
5 documents, so it's a -- sort of where do you stop?  
6 You reference references of references. I mean --  
7 so we -- we do have them and we can make them  
8 available to the dose reconstruction contract--  
9 the reviewer, if that's where you're heading with  
10 that.

11 MR. ELLIOTT: The references are not on our  
12 web site. As Jim says, they're voluminous and  
13 they are available upon request. And we have  
14 provided them, in a number of cases, to the public  
15 upon request. And certainly your contractor's  
16 going to be able to access them as they desire.  
17 We have them on a special drive on one of our  
18 servers and so they'll have that access.

19 MR. GRIFFON: So you have most of that stuff  
20 electronically. I'm just -- I'm not saying on the  
21 web site. I'm saying available for the review  
22 contractor or for --

23 MR. ELLIOTT: Yeah.

24 MR. GRIFFON: -- the Board so that it'd be  
25 easily accessible --

1 DR. NETON: We can make it available  
2 electronically.

3 DR. ZIEMER: Jim Melius.

4 DR. MELIUS: Specific question and then a --  
5 sort of a follow-up comment. The question first.  
6 Last time -- I think we actually -- last two  
7 meetings, I believe, I may be wrong -- we've heard  
8 from Richard Miller with some concerns about the  
9 site profile for Blockson, and I think we've heard  
10 sort of his -- his concerns about that, and I  
11 believe at the last meeting I requested, maybe  
12 somebody else did, that we get briefed on that so  
13 we'd have an understanding -- it came up sort of  
14 obliquely in some of the question here about sort  
15 of natural uranium exposures and so forth. And I  
16 guess I'm asking are we going to hear about that?  
17 I would at least like to understand what the issue  
18 is, if it's a legal issue or if it's a, you know,  
19 policy issue that -- request or a technical issue.

20 DR. NETON: All I can say on the Blockson  
21 issue is that we -- the radon section remains  
22 reserved on our web site. It is not completed  
23 yet, and we are going -- internally deliberating  
24 how to handle radon and Blockson at this point. I  
25 can't really say any more than that.

1 DR. MELIUS: I know I'm ask-- okay. Well,  
2 that's more (off microphone) (Inaudible) -- than I  
3 recalled, so that's --

4 DR. ZIEMER: At the last meeting I think the  
5 issue was discussed to some extent, and had to do  
6 with the definition of what was -- what was the  
7 site in this case and it involved the radon  
8 exposures of a portion of the site. I gather that  
9 internally that's still being addressed and  
10 reviewed and -- is there any more that can be said  
11 today or no?

12 DR. MELIUS: I'm not looking for more then,  
13 if you can't say it, but -- and I think I've used  
14 up my three wishes in terms of scheduling, but if  
15 we could -- if it is -- when it's ready and can be  
16 presented, I would like to hear it presented.

17 DR. NETON: I'd be more than happy to do  
18 that.

19 DR. MELIUS: And I think that raises a bigger  
20 question that comes up with some of these site-  
21 wide documents that you're doing that I think we  
22 as a Board need to look at. And I think it  
23 applies more to this issue of individual dose  
24 reconstruction review. But when we did the  
25 initial set of dose reconstruction regulations, we



1 indicated that if there were -- and I may not have  
2 the language right, but if there were policy  
3 issues or things that would change how NIOSH would  
4 do -- conduct dose reconstructions, sort of fill  
5 in further details, that there was a process put  
6 in place where those would be announced in the  
7 *Federal Register* and then reviewed, comments  
8 reviewed by the Board, also, or presented to the  
9 Board in some way -- and I may have the details of  
10 that wrong.

11 I think we also are now entering into this  
12 process where we are looking at individual dose  
13 reconstructions, and then -- and then in between  
14 those two -- and I -- I don't personally see where  
15 any of the documents you talked about today  
16 represent, you know, a major change. I think  
17 they're pretty straightforward technical guidance.  
18 But we ought to think about what -- where the line  
19 is in some of these places in terms of -- and what  
20 is the most efficient way of looking at -- for us  
21 to do the individual dose reconstruction reviews  
22 in a way that -- I mean do we just do individual  
23 dose reconstructions till we run across one of  
24 these documents, in which case then it has to be  
25 reviewed, or is it more efficient to do it in some

1 other way. And then at what point does -- does  
2 the decisions that you're making, the technical  
3 decisions sort of reach more of a policy issue  
4 that -- that ought to get more -- more complete  
5 public review. And whether we do that as part of  
6 our discussions or at some later point, but I  
7 think it's something -- it'd be better if we could  
8 think it through ahead of time rather than having  
9 an issue come up where -- if it -- if a large  
10 issue comes up through an individual dose  
11 reconstruction, I don't think that serves  
12 everybody very well 'cause undoubtedly that may  
13 have, you know affected a lot of other cases and  
14 then if -- if we're debating or having questions  
15 about a -- some sort of a technical policy that  
16 you've set in terms of dose reconstruction, then -  
17 - through an individual -- through a single case,  
18 then I think that's not the best approach and most  
19 efficient nor the most fair to the claimants. And  
20 if we could think about some criteria for that.  
21 And also to get a little better idea of where  
22 you're going with these types of documents and  
23 seeing, you know, what's the spectrum from the  
24 original regulations to various kinds of  
25 guidelines you develop down to these sort of

1 technical reference documents that are in place,  
2 and maybe that would help us decide it. And maybe  
3 it's not an issue yet, or maybe it won't be, but I  
4 would like to avoid that becoming a major issue.

5 DR. ZIEMER: Thank you. And actually these  
6 kind of issues cut both ways. I think Dr.  
7 Roessler was hinting at it that it's -- it could  
8 also be when does an assumption go beyond becoming  
9 claimant-friendly to becoming -- ridiculous? Some  
10 of the assumptions are -- push the envelope, I  
11 think. They're certainly claimant-friendly. They  
12 make some assumptions that clearly go well beyond  
13 that, I would -- in my mind. It's hard to know --  
14 it may be hard to say well, you can't rule out the  
15 possibility, for example, that even though work  
16 was only done two days a week, that somebody might  
17 not have had -- worked longer than that. So it's  
18 hard to draw those lines, I realize.

19 But insofar as these kinds of things drive  
20 the process, I think you're in essence asking to  
21 make sure that the Board is aware of these.  
22 Insofar as they represent perhaps a policy change,  
23 we need to be on top of that. I think they keep  
24 with the policy. It's hard -- it's hard to  
25 separate the application of the policy from the

1 assumptions that are built into the policy, I  
2 suppose.

3 Okay. Mike?

4 **MR. GIBSON:** But on the other hand, Paul, you  
5 know, some of these assumptions are just that,  
6 they're assumptions, and it's admittedly a limited  
7 document. And so -- on the other hand, there  
8 could be a lot of missed dose for people that  
9 legitimately deserve it.

10 **DR. ZIEMER:** Yeah, understood, and certainly  
11 they are taking worst-case scenarios. And I'm not  
12 suggesting at this point that -- that they change  
13 that. It's certainly -- has -- in most cases  
14 appears to me has been a -- really a worst-case  
15 scenario.

16 Other comments before our lunch break?

17 (No responses)

18 Okay, there appear to be none. Thank you  
19 again, Jim, for a very informative presentation.

20 We're now ready for the lunch break. We will  
21 reconvene at 1:30. Thank you very much.

22 (Whereupon, a luncheon recess was taken.)

23 **RESEARCH ISSUES WORKGROUP REPORT**

24 **DR. ZIEMER:** We're now back in session. Our  
25 first topic for the afternoon session is a report

1 on the research issues workgroup. Dr. Melius has  
2 headed up that workgroup. They've had a  
3 teleconference meeting recently, and Jim, if  
4 you'll bring us up-to-date and...

5 DR. MELIUS: The research -- IREP and other  
6 scientific issue workgroup, I think is our  
7 official title, that had another meeting this  
8 week. The meeting was Henry -- Henry Anderson,  
9 myself and Russ Henshaw. Leon was caught on an  
10 airplane and I believe Paul, you were -- though  
11 not an official member of the group, you were  
12 going to sit in and you were caught in travel  
13 status, also, under that. And then subsequent to  
14 that meeting, I had some e-mail correspondence  
15 with Larry to -- and with Russ to update some of  
16 these issues, and I will refer you to them in a  
17 second for -- for some of this.

18 The -- if -- to refresh your memories --  
19 'cause I had to refresh mine -- the last report  
20 from the IREP and scientific issues workgroup was  
21 about a year ago. And we -- at that time we  
22 presented a report that included two -- two  
23 things. One was a recommendation for a set of  
24 procedures for how we would deal with scientific  
25 issues that would -- and other change --

1 significant changes to IREP and so forth that  
2 would come up and -- this was a policy the Board  
3 did adopt. It was a fairly flexible policy,  
4 depending on the extent of the change and  
5 depending on how NIOSH had worked to come up with  
6 a document, but it would involve some sort of a  
7 peer review or through a workgroup or a scientific  
8 meeting -- there were lots of different avenues.  
9 And then a presentation to the Board with all that  
10 information in a way that we could then make a  
11 endorsement of that change, if -- if appropriate  
12 that...

13 At that report a year ago we also presented a  
14 number of IREP and other health-related scientific  
15 issues that we recommended be something that get  
16 priority in terms of being addressed. And we  
17 ended up with a list of five issues. We put them  
18 into first and second priorities. I don't think  
19 their priority is as important for my presentation  
20 today, but we had gone through that and as a group  
21 adopted those.

22 And so what I will do is direct my report  
23 back to you based on that list because it -- that  
24 and I'll maybe add another -- couple of other  
25 items to it in terms of just updating you.

1           Our first priority was the issue of how to  
2 deal with occupational exposures, that these were  
3 exposures in the workplace and the fact that a lot  
4 of the scientific data that was being used to  
5 develop IREP were derived from non-occupational  
6 exposures that -- and whether that -- there should  
7 be adjustment or something for that, taking --  
8 that -- that deals with a number of technical  
9 issues, healthy worker effect, some changes in the  
10 dose rate and so forth on that.

11           After -- subsequent to our meeting last -- a  
12 year ago when we discussed this, we also had an  
13 update from NIOSH on where they stand with their  
14 studies, and -- 'cause they have underway a number  
15 of occupational cohort studies that -- and I think  
16 -- at least our discussion after that, although I  
17 don't think we ever formally talked about this,  
18 was that, you know, there was just a lot of work  
19 underway and NIOSH was addressing this issue, but  
20 it was more of a longer-term research issue. And  
21 I think the only conclusion we'd come or rec--  
22 that and my discussions with Larry is that it --  
23 at some point we ought to be updated on where  
24 NIOSH is with their work, and particularly focused  
25 on this issue, and maybe at that time generate

1 some more discussion of to what extent we need to  
2 deal with occupational exposures in the context of  
3 the IREP model and what would be next steps. And  
4 maybe there's nothing that needs to be done even  
5 then, but that would be I think the appropriate  
6 time for that discussion.

7 Second issue was age at first exposure that  
8 we -- we discussed as issue that'd been brought  
9 up. And NIOSH has been wrestling with that issue,  
10 also, and -- do that, and -- ask you to address  
11 this so I don't -- distracted, Larry -- and is  
12 think -- thinking of various approaches and let me  
13 let Larry address that since he's the one doing  
14 it.

15 MR. ELLIOTT: This is on age at exposure --

16 DR. MELIUS: Age at exposure --

17 MR. ELLIOTT: -- workshop, and we are working  
18 with the Health Energy-Related Research Branch,  
19 HERB, in NIOSH to put together this workshop. We  
20 are in deliberation about how to go about that and  
21 where we're going to out-source that to -- which  
22 contract we would employ that under. Basically  
23 the approach that HERB has proposed is that a set  
24 of experts would be convened in a workshop  
25 setting, and they would use some pre-developed



1 datasets to come up with a standard methodology of  
2 analysis for issues surrounding age at exposure  
3 and how to go about this.

4         The problem here is that there's a number of  
5 approaches that have been used by different  
6 epidemiologists, different biostatisticians, on  
7 evaluating age at exposure. And there are  
8 limitations and there are advantages to each --  
9 each of those different approaches. And so using  
10 a standardized dataset and gaining consensus  
11 across some experts we think makes a lot of sense.  
12 That would enable OCAS to use a consensus approach  
13 methodology in examining age at exposure. It  
14 would also enable the HERB researchers to examine  
15 age at exposure within their various study designs  
16 using a standardized approach.

17         Time line, I can't give you a time line.  
18 We're hoping that we can get this put together and  
19 a workshop held this year. We want to -- we have  
20 -- in OCAS we have money dedicated to support this  
21 for this year. We're working with HERB to see  
22 where we can find some additional resources and  
23 how we can best go about doing this. But it's our  
24 intent to get this on a fast track as quickly as  
25 possible because we do believe that it has

1 considerable benefit and merit to compensation, as  
2 well as to research.

3 DR. MELIUS: And I think, again, that  
4 procedurally sort of fits in with the way we  
5 talked about approaching these -- these issues and  
6 would allow them to come back with a report or,  
7 you know, an update for us, and maybe even a  
8 recommendation at some point.

9 The third issue was -- we classified as sort  
10 of the rare cancer issues, and grouping of  
11 different types of cancer. And there's really not  
12 much to update on that, other than there is some  
13 funding, we believe, in the omnibus spending  
14 package that was just passed, I think within the  
15 last few days, that would allow some further  
16 analysis by NIOSH on the chronic lymphocytic  
17 leukemia issue, and maybe help expedite addressing  
18 that issue. And I don't know, Larry, if you found  
19 anything more out in the last 24 hours about that.  
20 I think -- is all you know this huge appropriates  
21 for all the agen-- many of the agencies, I can't  
22 remember how many are included, has just been  
23 passed finally and there's some language issues  
24 and so forth. And there's a while for somebody to  
25 wade through it and get the language down so you

1 can even look at it.

2 MR. ELLIOTT: I haven't seen the language.  
3 I've talked with David Utterback -- who's here  
4 today -- a little bit about it, so we know it  
5 passed. We have to take stock of what it says and  
6 how the earmark is couched.

7 Attendant to that, though, Russ Henshaw is  
8 working on a listing of -- a frequency, if you  
9 will, of the cancers that we have in our claimant  
10 -- claim population, looking at various types of  
11 cancer -- primaries and -- and what we can say  
12 about that, as well, how many -- how many of those  
13 truly rare, rare, rare type of cancers do we see  
14 and what do we need to do in light of those. So  
15 he is coming up with that and we plan to have  
16 something to present to the Board in a very short  
17 time.

18 DR. ZIEMER: Can you tell us a little more  
19 about the thrust of the funding? What's the  
20 intent there in the bill that you referred to? Is  
21 that for studies or --

22 DR. MELIUS: That is for studies, yes. My  
23 understanding -- at least the language I've seen  
24 earlier, and I haven't seen final language, was it  
25 would allow -- NIOSH is doing -- well, maybe we

1 should ask --

2 MR. ELLIOTT: Maybe Dave Utterback could come  
3 up and speak to that. I haven't seen the language  
4 myself, but originally we understood it to be  
5 dedicated to -- money to be dedicated to CLL,  
6 examining CLL.

7 DR. UTTERBACK: David Utterback, I'm with  
8 NIOSH, Health-related Energy Research Branch, and  
9 -- I mean I can't cite the language verbatim, but  
10 the way that it does read is that there is \$7 and  
11 a half million from the amount of money allocated  
12 to DOE for public health activities, to be given  
13 to NIOSH to investigate, through epidemiology  
14 studies and other activities, the relationship  
15 between chronic lymphocytic leukemia and  
16 radiation.

17 DR. ZIEMER: Thank you.

18 DR. MELIUS: I would also add -- I was going  
19 to put this at the end but Larry raised it -- Russ  
20 has been working on a -- I don't know what to call  
21 it, but it would be an analysis of the claims  
22 information, the claims information database that  
23 would allow -- to address issues like frequency of  
24 cancers, frequency of sites and so forth. And I  
25 think this has been talked about at a previous

1 meeting, but it would allow some better  
2 information, particularly in addressing these  
3 types of more general issues that would be I think  
4 useful not only for the program, but also for the  
5 Board in thinking about how to prioritize or  
6 address some of these issues in the future. And  
7 there's been a lot of progress on that and I  
8 think, as Larry said, we'll be hearing about it  
9 shortly.

10 The fourth area that was the issue of smoking  
11 and how to adjust for smoking -- that, and --  
12 actually when I -- when we did this conference  
13 call on Tuesday, NIOSH was still waiting from  
14 (sic) an analysis to come in from Pierce, and by  
15 the time we -- the next day, it had come in -- or  
16 had just received the report, if I understand  
17 right, and --

18 MR. ELLIOTT: It does help to have you apply  
19 a little pressure so that we can turn that  
20 pressure over and our colleagues at NCI complied,  
21 so...

22 DR. MELIUS: It was soft pressure. I just  
23 asked Russ, well, when do you think it might come  
24 in? He said I don't know, I'll check with Larry,  
25 and today I got a note from Larry saying it was