

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes

MEETING 5

SUBCOMMITTEE FOR DOSE RECONSTRUCTION

REVIEWS

The verbatim transcript of the 5th
Meeting of the Subcommittee for Dose Reconstruction
Reviews held at the Red Lion Richland Hanford House,
Richland, Washington on July 17, 2007.

*STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING
404/733-6070*

C O N T E N T S

July 17, 2007

WELCOME AND OPENING COMMENTS MR. MARK GRIFFON, CHAIR	7
BLIND REVIEWS	9
BASIC VS. ADVANCED REVIEWS	52
SC&A TASKS FOR FY08	62
STATUS AND FUTURE PLANS	69
COURT REPORTER'S CERTIFICATE	80

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

BEACH, Josie
Nuclear Chemical Operator
Hanford Reservation
Richland, Washington

1 CLAWSON, Bradley
2 Senior Operator, Nuclear Fuel Handling
3 Idaho National Engineering & Environmental Laboratory

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

1 LOCKEY, James, M.D.
2 Professor, Department of Environmental Health
3 College of Medicine, University of Cincinnati

4 MELIUS, James Malcom, M.D., Ph.D.
5 Director
6 New York State Laborers' Health and Safety Trust Fund
7 Albany, New York

 MUNN, Wanda I.
 Senior Nuclear Engineer (Retired)
 Richland, Washington

 PRESLEY, Robert W.
 Special Projects Engineer
 BWXT Y12 National Security Complex
 Clinton, Tennessee

 ROESSLER, Genevieve S., Ph.D.
 Professor Emeritus
 University of Florida
 Elysian, Minnesota

 SCHOFIELD, Phillip
 Los Alamos Project on Worker Safety
 Los Alamos, New Mexico

IDENTIFIED PARTICIPANTS

BEHLING, KATHY, SC&A
CHANG, CHIA-CHIA, NIOSH
COLLEY, VINA
ELLIOTT, LARRY, NIOSH
FIERING, JOANIE
FULTZ, KAL
HINNEFELD, STU, NIOSH
HOWELL, EMILY, HHS
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
SHATELL, CHARLES
STAUDT, DAVID, CDC

JULY 17, 2007

9:05 a.m.

P R O C E E D I N G S

WELCOME AND OPENING COMMENTS

1
2
3
4 **MR. GRIFFON:** All right, I -- I think we're
5 ready to convene here. This is the
6 subcommittee meeting starting. The full Board
7 meeting will start I believe right after lunch
8 today. One o'clock, is that right? Yeah, 1:00
9 o'clock.

10 My name's Mark Griffon. I'm the Chair of the
11 Subcommittee on Dose Reconstruction for the
12 Advisory Board, and we're happy to see you here
13 in Richland, Washington. Again, this is a -- a
14 specific subcommittee dealing with some of the
15 case reviews and some of the detailed reviews
16 that we're doing. The general meeting will
17 start at -- at 1:00, so we'll have a more
18 formal introduction from the Chair of the
19 Board, Dr. Paul Ziemer, at that point.

20 I should mention at the start that we have -
21 Chia-Chia Chang is here as our Designated
22 Federal Official today. Lew Wade's not here.
23 Lew I think is coming in later this afternoon
24 and will be here tomorrow morning. But Chia-
25 Chia will take that duty as the Designated

1 Federal Official. Other subcommittee members
2 are Wanda Munn, Dr. John Poston, Mike Gibson,
3 and Bob Presley is an alternate. So given
4 that, I think we can start the -- it's a short
5 agenda today but we do want to get back on
6 course with a few items.

7 And two main items I think that I wanted to
8 discuss -- one was the blind reviews for the
9 dose reconstruction process, and the other is
10 the -- the sort of question of the advanced
11 versus basic review, and I wanted to reflect
12 back on the original scope of the advanced
13 reviews and make sure -- I think there are some
14 items within that scope that have not been
15 covered in previous dose reconstruction reviews
16 and I think we need to sort of look back at
17 those and see, as we move on, do -- you know,
18 do we want to incorporate those and -- and, you
19 know, how do we want to do that? Do we want to
20 do them for -- I think they're -- I think it's
21 going to fall out that we'll want to do those
22 for certain types of cases but we can get into
23 that a little more.

24 Then I also wanted to just do an update of the
25 -- all the sets of cases that we've been

1 reviewing. We've been reviewing the case --
2 the individual case reviews and so far -- when
3 I talk about a set of cases, we've been doing
4 basically sets of twenty cases and we've
5 completed three sets through our full
6 resolution process, but we've got a bunch of
7 work sort of in process. The fourth set of
8 cases and the fifth set of cases we've -- we've
9 met as a subcommittee and -- and gone through a
10 resolution process with SC&A, our contractor,
11 as well as with NIOSH. We haven't finally
12 resolved some of those items. And then we --
13 we also have sixth, seventh, and eighth sets of
14 cases in the -- in the hopper. So I'll do a
15 little update on that and -- and where we're
16 going on future work with that.

17 BLIND REVIEWS

18 But I thought it made sense to start with the -
19 - to start with blind reviews discussion and I
20 think it -- it may be useful to -- to sort of
21 reflect back on our discussion -- I think it
22 was two meetings ago that we had a fairly
23 lengthy discussion on the blind reviews and how
24 we were going to go about the blind reviews.
25 And I think it might be useful for our

1 subcommittee to sort of decide on an approach
2 and at least put it into practice, even as --
3 even if it's a preliminary approach. And I've
4 got a -- we -- we talked about the idea of
5 maybe doing -- well, sort of two -- two
6 scenarios. One where we have -- we get the raw
7 case data for a particular case -- how -- how
8 we select this and how we make sure it's not
9 leaked on the case number and all that --
10 that's sort of -- other issue to worry about.
11 But we get the raw case data to SC&A, the --
12 the Board's contractor, and under option one
13 they would take that raw case data and
14 basically decide -- given all the raw data that
15 NIOSH would receive on a case, SC&A would then
16 take that data and say okay, we're going to
17 reconstruct this dose using the following NIOSH
18 procedures or tools that are available. But we
19 won't see -- sort of won't see how NIOSH did
20 it. We won't see their answers, we won't see
21 their completed or filled out tools. But we'll
22 have at our disposal the tools that NIOSH could
23 have used, and the selection of which tools to
24 use is up to SC&A and --

25 **UNIDENTIFIED:** (Unintelligible) I can't hear

1 anything that's going on (unintelligible)
2 there.

3 **MR. GRIFFON:** And the -- you know, certainly
4 the -- there's still some room for some --

5 **MS. BEHLING:** No, I can't, either.

6 **MR. GRIFFON:** -- sort of, you know,
7 assumptions, the assumptions that you would
8 apply in doing --

9 **MS. BEHLING:** Mark?

10 **MR. GRIFFON:** -- a dose reconstruction,
11 especially for the internal dose side, would
12 still be in play. So that would be one
13 approach.

14 Option two is give all the raw data and so
15 forth, but -- but tell SC&A just to do the dose
16 reconstruction. Don't -- don't use NIOSH
17 tools, just use your own approach. Use your
18 best health physics in-house approach without
19 the -- without utilizing the --

20 **UNIDENTIFIED:** He can't hear you.

21 **MS. BEHLING:** It doesn't appear that
22 (unintelligible) --

23 **MR. GRIFFON:** -- NIOSH spreadsheets and tools
24 and the statistical models for calculating the
25 uncertainties and -- and all those --

1 **UNIDENTIFIED:** (Unintelligible)

2 **MS. BEHLING:** Okay, thank you.

3 **MR. GRIFFON:** -- you know, tools we've talked
4 about on -- on this Board, and I think it may
5 be useful to do one or two blind reviews and --
6 and ask SC&A to -- to, in-house, do it both
7 ways. Obviously they would be blind to each
8 other when they did that, but to use both
9 approach and -- and maybe -- maybe select one
10 or two cases to do that way and then report
11 back to the Board and -- and see if that
12 approach is in fact getting us where we want to
13 go with this, if it's answering some of our
14 questions about -- I think, you know, the
15 fundamental questions we're looking at when we
16 want to do blind reviews is the scientific
17 validity of -- of -- you know, that goes back
18 to our charter, are the approaches
19 scientifically valid. And if in fact another
20 way of doing it comes, within reason, to the
21 same final conclusion or same answer, then
22 you've sort of validated -- you know, that's a
23 way of saying yes, in fact it is a
24 scientifically valid approach. So that's sort
25 of what I was going to throw out there for

1 discussion on our subcommittee at first is --
2 you know, let's go ahead -- let's go forward
3 with the blind model and assign SC&A to do
4 option one and two on an individual case, at
5 least one individual blind case. Do it -- do
6 it both ways and -- and then, you know, report
7 back. And we can always modify how we want to
8 do these blind reviews, but I think we -- you
9 know, it might be useful to get this ball
10 rolling. So I guess that's the open item for
11 discussion. Wanda?

12 **MS. MUNN:** Yes. One question I would have,
13 Mark, is whether you're considering having a
14 single individual at SC&A or more than one
15 individual do the dose reconstructions both
16 directions. Have you given any thought to
17 whether -- to the staffing issue as to who the
18 reconstructors would be?

19 **MR. GRIFFON:** Yeah, I -- I mean I might even
20 ask John to speak to that -- you know, what --
21 what makes sense. I hadn't thought about that,
22 if we want to -- you know, I know that -- we
23 were -- we were actually talking briefly about
24 this, but I -- I think, you know, we might want
25 to consider costs in that regard, too. You

1 know, it...

2 **MS. MUNN:** One of the issues that's of concern
3 to me is using the techniques that are
4 currently applied in our standard routine. We
5 have placed, through our workbooks and other
6 items that NIOSH uses, an entirely different
7 set of parameters for approach than would
8 normally be used in what in other venues would
9 be considered best practices for
10 reconstruction.

11 **MR. GRIFFON:** Uh-huh.

12 **MS. MUNN:** And that being the case, it would
13 probably be revealing to see if there were
14 marked differences and what would -- what the
15 end result would be in doing those two
16 different methods of approach.

17 **MR. GRIFFON:** Yeah.

18 **MS. MUNN:** But the question would also arise
19 whether two differing individuals would
20 accomplish the same thing. I don't believe I
21 have ever asked this specific question, whether
22 any --

23 **UNIDENTIFIED:** (Off microphone) I can't hear
24 anything, either.

25 **UNIDENTIFIED:** (Off microphone) -- not much.

1 (NOTE: Throughout the following discussion, a
2 parallel discussion was being held between two
3 telephone participants about their inability to
4 hear what was being said in the meeting room.
5 Best efforts have been made to segregate the
6 meeting room speakers from those on the
7 telephone, and efforts to transcribe comments
8 of the telephone speakers have been
9 discontinued except where noted.)

10 **MS. MUNN:** -- or if so, how many of the DRs
11 that are done inside NIOSH have peer review of
12 others who've duplicated that. I don't know
13 how much of that is done inside NIOSH.

14 **MR. GRIFFON:** Yeah, I -- yeah, and I don't know
15 that. We might ask NIOSH that later in their
16 presentation. But I know they have an internal
17 QC and do reviews. I'm not sure if they do
18 internal blinds. You know, I don't know.

19 **MS. MUNN:** I'm not, either.

20 **MR. GRIFFON:** But --

21 **MS. MUNN:** That's a question from -- in my
22 mind.

23 **MR. GRIFFON:** -- I guess my -- yeah, I guess we
24 -- we could have possibly multiple individuals
25 that -- I guess the -- the other part is, I

1 would -- I would think we would define this. I
2 think it's sort of understood, but we would be
3 clear with SC&A with this, that when I say, you
4 know, best health physics approaches, that
5 would be consistent with EEOICPA and the
6 regulations that we're operating under here.
7 So there is that sort of caveat that it's not -
8 - and -- and that -- that way you're, you know,
9 at least limited to -- some of the provisions
10 in the regulations talk about most current ICRP
11 models, for instance -- so I think there are
12 some parameters to -- that you have to operate
13 within.

14 The other thing I -- I was thinking is that we
15 really -- I -- I think it makes mo-- it only
16 makes sense to do a blind review with a best
17 estimate case. So I think we need to kind of
18 hand pick a case that's a best estimate for
19 both external and internal because I don't
20 think it makes sense to do an overestimate case
21 where -- or -- or especially an underestimate
22 case 'cause NIOSH could do a partial and do
23 less partial than SC&A did, and you know, of
24 course you're going to end up with different
25 numbers, but the same bottom line essentially,

1 you know. I don't know how to -- how revealing
2 that is of -- of scientific validity. So you
3 know, I would argue that we should try to pick
4 a best estimate case as one of -- as one of the
5 blinds. And you know, if -- if we -- I guess
6 that's open, to me, if -- if we wanted to have
7 multiple people within SC&A do it. I thought
8 for one -- to have one -- at least to start, to
9 have one person do it with sort of their best
10 health physics approaches, and the other to do
11 it sort of following the NIOSH protocol, and --
12 and then you have a couple of comparisons. You
13 can compare back with NIOSH, but you can also
14 compare internally with those two, how -- how -
15 - how they compare, and maybe -- maybe start
16 there with one and then say what have we
17 learned from this and, you know, is it valuable
18 to do it both ways, is it -- you know. I don't
19 know.

20 **MS. BEHLING:** Excuse me, Mark --

21 **MS. CHANG:** Could I interrupt for just a
22 second? We were hearing I think some people on
23 the phone, so --

24 **MR. GRIFFON:** Yeah, Kathy --

25 **MS. CHANG:** -- please do put yourself on mute

1 until you're ready to speak.

2 **MR. GRIFFON:** Yeah, Kathy -- Kathy Behling, go
3 -- go ahead. I think I heard you --

4 **MS. BEHLING:** Yes, I'm sorry, I couldn't -- I
5 didn't know if you could hear me because we're
6 having -- the people on the phone are having a
7 very difficult time hearing everyone. It's
8 very quiet.

9 **MR. GRIFFON:** Oh, okay. I guess --

10 **MS. BEHLING:** It's very difficult to hear.

11 **MR. GRIFFON:** We'll -- we'll work on that and
12 maybe -- we'll work on that.

13 **MS. BEHLING:** Okay. In fact, someone who was
14 on the phone had tried to call the hotel to let
15 them know that we -- we just were not hearing
16 very clearly. I -- I apologize for
17 interrupting. One of the things I just wanted
18 to make mention during this working group (sic)
19 meeting is the fact that -- I guess what -- as
20 I was going through the procedures, the various
21 procedure reviews, I came across a procedure
22 that indicates that NIOSH also does blind
23 reviews of the overall cases. I -- and I'm not
24 sure if that's correct or not and I'm not sure
25 if that would benefit -- is something that we

1 should be looking at, in light of this
2 discussion.

3 **MR. GRIFFON:** Yeah, I think Stu might have an
4 answer for us.

5 **MR. HINNEFELD:** (Off microphone) That is a
6 provision -- can you hear me? That is a
7 provision (unintelligible) --

8 **UNIDENTIFIED:** Hello? Hello?

9 **MS. BEHLING:** Yeah, I'm still here, but I don't
10 hear anyone.

11 **MR. GRIFFON:** Can -- Kathy, can you hear us
12 now?

13 **MS. BEHLING:** No, I cannot. I can hear you,
14 Mark, but that's the -- and there's someone
15 else on the phone who's also trying to listen
16 in.

17 **MR. GRIFFON:** Yeah.

18 **UNIDENTIFIED:** I can hear -- I can hear him
19 now. That's the first time I've ever heard him
20 say anything, though.

21 **MS. BEHLING:** Okay.

22 **MR. GRIFFON:** Okay. We're -- we're working on
23 this. We're hoping to get it better. Can you
24 hear us now on the phone?

25 **UNIDENTIFIED:** We're trying to call the hotel

1 to get ahold of the meeting room to let them
2 know that we're having difficulty hearing the
3 meeting. They won't answer their phone,
4 either.

5 **MR. GRIFFON:** Okay, who has -- who -- whoever's
6 on the phone, we are working on this so
7 hopefully you can hear us now.

8 **MR. FULTZ:** Yeah, this is Kal Fultz. I'm
9 counsel to LLC, an authorized representative of
10 Part E and B claims.

11 **MR. GRIFFON:** Can I ask who's talking on the
12 phone line now? We hear you.

13 **MR. FULTZ:** Yeah, this is Kal Fultz. I'm
14 authorized representative on claims for Part B
15 and E.

16 **MR. GRIFFON:** Oh, Okay. Can you hear us now
17 better?

18 **MR. FULTZ:** Yeah, I hear -- I hear you now.

19 **MR. GRIFFON:** Okay.

20 **MR. FULTZ:** There's an echo on my line, but I
21 hear you.

22 **MR. GRIFFON:** All right. I think we're --
23 we're a little better now so we're -- we're
24 just going to continue and -- and speak up if
25 we fade out or whatever, let us know.

1 **MS. BEHLING:** Okay.

2 **MR. GRIFFON:** Okay.

3 **MS. BEHLING:** Excuse me, Mark. Kathy Behling
4 again. Did Stu answer the question? If he
5 did, I -- I didn't hear it.

6 **MR. GRIFFON:** No, Stu's waiting at the mike, so
7 we're -- we're ready for Stu's answer. Here we
8 go.

9 **MS. CHANG:** I'm sorry, can I interrupt just one
10 more minute? Who else is on the phone? Is
11 there anybody else that want to identify
12 themselves? We had someone -- you said your
13 name was Kal Fultz. I'm trying to get it for
14 the transcriber.

15 **MR. FULTZ:** I'm Kal, K-a-l, Fultz, F-u-
16 l-t-z.

17 **MS. CHANG:** Did you get that, Ray?

18 **MR. FULTZ:** (Unintelligible) LLC --

19 **MS. CHANG:** All right.

20 **MR. FULTZ:** -- and I'm a representative
21 for a claimant on E and Part B -- Part B
22 and Part E claims.

23 **MS. CHANG:** All right. I'm sorry. Go
24 ahead, Stu.

25 **MR. HINNEFELD:** Okay. Stu Hinnefeld

1 here. In response to Kathy's question,
2 while the procedure does make allowance
3 for us to do blind reviews, we have not
4 done any yet.

5 **MR. GRIFFON:** Okay. So -- I don't know
6 if any of the other -- oh, John, I'm
7 sorry.

8 **DR. POSTON:** Well, I really had more a
9 clarification for the rookie. I -- when
10 we're talking about peer review of these
11 dose reconstructions, I understand that
12 the dose reconstructors are peer
13 reviewed when they produce their
14 product. Is that correct? Isn't that a
15 peer review?

16 **MR. HINNEFELD:** Yeah, all the -- all the
17 dose reconstructions that are done are
18 peer reviewed. So they're reviewed by a
19 person who requires somewhat more senior
20 qualifications than the basic dose
21 reconstructor qualification. They
22 review it, which may be a little
23 different than actually reworking the
24 entire dose reconstruction from scratch.
25 I mean they -- they verify all the

1 steps, but it may -- it's not exactly
2 picking up the original file documents
3 and not knowing what the dose
4 reconstruction says and going through it
5 and see if you get about the same
6 answer. It's looking at the dose
7 reconstruction and seeing if it was done
8 in accordance with the practices and
9 procedures that were appropriate for
10 that case.

11 **DR. POSTON:** But -- but there is
12 feedback. I mean the --

13 **MR. HINNEFELD:** Oh, yeah.

14 **DR. POSTON:** -- the person who does the
15 peer review has the responsibility or
16 the authority to send it back.

17 **MR. HINNEFELD:** Yes.

18 **DR. POSTON:** Right?

19 **MR. HINNEFELD:** Yes, they do.

20 **DR. POSTON:** Okay. So -- and then NIOSH
21 has peer reviews, so what are -- what
22 are we talking about? I mean --

23 **MR. GRIFFON:** Well, their -- theirs
24 aren't blind. I mean we're just talking
25 -- we're asking if they're doing blind

1 reviews and they -- they are doing peer
2 reviews, I acknowl-- we acknowledge
3 that.

4 **MR. HINNEFELD:** My -- my understanding of
5 a blind review is you would have two
6 dose reconstructors do the same case
7 without any communication between each
8 other about how the other one's doing it
9 and see if you arrive at the same bottom
10 line answer. You aren't -- you aren't
11 going to get the same dose number, in
12 all likelihood, but you would be within
13 some -- some region of uncertainty.

14 **DR. POSTON:** Well, is that a -- is that a
15 necessary step? Is that --

16 **MR. HINNEFELD:** Well --

17 **DR. POSTON:** -- I mean it seems like it
18 could be redundant, to me.

19 **MR. GRIFFON:** I -- I'm not necessarily
20 arguing that NIOSH needs to do it. I --
21 I think in our original scope we said
22 that we would do a small set of blind
23 reviews, so yeah.

24 **DR. POSTON:** I understand.

25 **MR. GRIFFON:** Yeah.

1 **UNIDENTIFIED:** (Unintelligible)

2 **MS. CHANG:** Is someone on the phone trying to
3 say something?

4 (Electronic feedback)

5 Can you mute yourself if you're not, please?

6 Thank you. Sorry.

7 **MR. GRIFFON:** So -- so I don't know if we -- if
8 -- if the subcommittee is ready at this point
9 to make sort of a proposal back to the Board to
10 say let's initiate blind -- you know, one or
11 two blind reviews with those parameters I just
12 described, that we would do both op-- have SC&A
13 do both options and report back to the
14 subcommittee with their results on that.

15 **MS. MUNN:** And John was going to say something
16 to us, I think, about --

17 **UNIDENTIFIED:** I'm sorry, I can't hear
18 anything.

19 **MR. GRIFFON:** About the one versus two, yeah.

20 **MS. MUNN:** -- the availability of -- of
21 individuals for them.

22 **MR. GRIFFON:** Yeah, John Mauro.

23 **DR. MAURO:** Yes, I -- this is John Mauro.

24 **UNIDENTIFIED:** Hello, I can't hear them,
25 either.

1 **DR. MAURO:** This is John Mauro. Can you hear
2 me?

3 **UNIDENTIFIED:** Not really. I can barely hear
4 you guys. Do you have a -- do you have a --
5 what kind of phone are you folks talking into?

6 **DR. MAURO:** I'm on a live mike.

7 **UNIDENTIFIED:** It's a mike. Okay. You don't
8 have like one of the polycom phones that, you
9 know, pick up --

10 **MS. MUNN:** No.

11 **UNIDENTIFIED:** -- bidirectional --

12 **DR. MAURO:** I'm speaking loud into the mike. I
13 can tell by the feedback I'm getting, you know,
14 it's projecting.

15 **UNIDENTIFIED:** Well, everyone that seems to be
16 on this side of the conference is having
17 trouble hearing, so --

18 **UNIDENTIFIED:** Yeah, I'm having trouble
19 hearing, too.

20 **DR. MAURO:** Should I come up to one of the
21 mikes on the table?

22 **UNIDENTIFIED:** Yeah.

23 **MR. GRIFFON:** Can -- can you hear better from
24 here?

25 **UNIDENTIFIED:** That's the only one that sounds

1 good right now --

2 **MR. GRIFFON:** Okay.

3 **UNIDENTIFIED:** -- that microphone right there.

4 **MR. GRIFFON:** Okay. Maybe come up here, John,
5 and try that mike.

6 **MS. MUNN:** These are all live up here.

7 **MR. GRIFFON:** Sorry. We are working on this.
8 We -- we apologize on the phone line.

9 **UNIDENTIFIED:** Thank you.

10 **DR. MAURO:** This is John Mauro. Can you hear
11 me now?

12 **UNIDENTIFIED:** A little bit. A little better.

13 **UNIDENTIFIED:** I can hear you a little bit, not
14 much.

15 **UNIDENTIFIED:** Not -- not as well as the first
16 gentleman that...

17 **MS. MUNN:** I don't think it's the mike. I
18 think it's the feed somewhere.

19 **DR. MAURO:** This is John Mauro again. Is that
20 better?

21 **UNIDENTIFIED:** That's coming in clear, yeah.

22 **DR. MAURO:** Okay. It sounds like we found the
23 mike that works.

24 Yes. This is John Mauro. I -- I've -- I'm the
25 Program Manager for SC&A, supporting the Board.

1 The only point I was going to make regarding
2 this blind dose reconstruction to Mark and the
3 rest of the subcommittee is, coincidentally, we
4 have recently proposed the next fiscal year a
5 scope of work which includes blind dose
6 reconstructions. As it turns out, the -- we
7 describe in some detail how we would go about
8 doing that, along with the cost. And we
9 provided a unit cost per blind dose
10 reconstruction and it is exactly the way in
11 which Wanda and Mark have described, namely the
12 way we are proposing to do it -- now whether we
13 do it in next fiscal year or we do it this
14 fiscal year -- just to point out, by the way,
15 our budget and scope for this fiscal year does
16 include doing two blind dose reconstructions.
17 So certainly if you folks elect to have us do
18 that as part of this fiscal year's work, we
19 certainly will do that. And the approach we
20 would take would be the one that was described
21 by both Mark and -- and Wanda, whereby -- we've
22 already had quite a bit of discussion regarding
23 this. The approach would be we would receive
24 direction from the working group or the Board
25 on one or two cases, preferably realistic

1 cases, whereby we would then have Hans and
2 Kathy Behling do what we call the NIOSH
3 approach where they would use all of the tools,
4 spreadsheets, assumptions, workbooks, and try
5 to do it exactly the way they believe NIOSH
6 would have -- would do it, or had did it --
7 have done it. Of course they would not have
8 access to the actual dose reconstruction
9 performed, so the intent would be to see the
10 degree to which they follow the methods in
11 accord with the methods that NIOSH would
12 follow, and then compare results.

13 Independent of that, I would do a dose
14 reconstruction which I call the basic common
15 sense approach, whereby an experienced health
16 physicists would gather up all -- or would be
17 given all of the data, but not necessarily use
18 the spreadsheets, the workbooks, the
19 assumptions, as laid out in all of the myriad
20 of over 100 procedures that have been developed
21 on this program, but do it the way in which I
22 would say an experienced health physics --
23 health physicist might do it, in accordance
24 with the letter and intent of the regulations
25 and the statute, and the intent being -- and

1 that way I would be doing the dose
2 reconstruction but not following let's say a
3 lot of the construct, the detailed protocols
4 that have been developed over the years, but
5 use more of what I would say something that a
6 health physicist would use who did not have the
7 benefit of the multiple years of experience and
8 -- and -- and protocols that have been
9 developed.

10 And we felt that a lot would be gained by then
11 comparing -- and by the way, I would not speak
12 with Hans or Kathy while I did that. I would
13 finish up my write-up with my rationale for all
14 my assumptions and what I did and why I did it,
15 and then we'd be in a position to compare my
16 results to Hans' and Kathy's results, to NIOSH
17 results -- which of course at the back end of
18 the process we would then be able to sit around
19 a table with the working group and then explore
20 the reasons why there are differences and what
21 those differences mean, and their implications.
22 So this is what we proposed for next fiscal
23 year as -- as a blind dose reconstruction, but
24 we could certainly do it this fiscal year also.

25 **MS. MUNN:** I remember reading something about

1 that in your recent reports --

2 **UNIDENTIFIED:** I can't hear the person talking.

3 **MS. MUNN:** -- but I can't remember which task
4 that falls under.

5 **UNIDENTIFIED:** Are we allowed to make a
6 comment?

7 **DR. MAURO:** That's part of task order IV.

8 **MS. MUNN:** Thank you.

9 **UNIDENTIFIED:** I don't think so, at this point.

10 **MS. COLLEY:** 'Cause I'd like to make a comment,
11 as a victim, that redose (sic) is useless.
12 This is Vina Colley of Ohio. Redose is
13 useless, and it's often dishonest exercise and
14 Dr. -- I talked with Dr. (unintelligible) and
15 she says that you cannot tell by dose whether
16 or not someone was injured any more than by
17 knowing the dose of a medicine a patient had --
18 had, you can decide whether or not the patent
19 is cured. Dose reconstruc-- reconstruction is
20 just a way to confuse the issue and --

21 **UNIDENTIFIED:** I don't think they can --

22 **MR. GRIFFON:** Vi -- Vina --

23 **UNIDENTIFIED:** -- (unintelligible) at this
24 point.

25 **MR. GRIFFON:** This -- this is Mark Griffon,

1 Vina. Can you hear me on the phone?

2 **MS. COLLEY:** Yeah.

3 **MR. GRIFFON:** Yeah. Hi. We are talking about
4 the subcommittee items right now. We do have a
5 -- and I'd love to hear more of your comments
6 if we could have it during public comment. We
7 have two public comments during this meeting, I
8 believe, tonight and tomorrow night. So I
9 think, you know, you might want to expand on
10 your comments at that point.

11 **MS. COLLEY:** Will that be at 8:00 o'clock
12 tonight for -- Eastern time?

13 **MR. GRIFFON:** Is that 8:00 o'clock Eastern
14 time? Is that -- three hours, yeah. Yeah,
15 8:00 o'clock Eastern time, and we can put you
16 on earlier if -- you know, given the time
17 difference. But that would be --

18 **UNIDENTIFIED:** (Unintelligible) starts at
19 7:30. Is that correct?

20 **MS. CHANG:** Tomorrow night is 7:30 to 8:30,
21 Washington State time.

22 **UNIDENTIFIED:** Tonight it's 5:00 o'clock --

23 **MS. CHANG:** Tonight is 5:00 to 6:00, and we
24 welcome your comments tonight or tomorrow
25 night.

1 **MR. GRIFFON:** Okay. Just to get back -- and I
2 hope you can hear better on the phone, as well.
3 Just to get back to this item, I -- I think I
4 can summarize maybe a -- a -- a motion that we
5 can bring back to the full Board. But I was
6 going to say that the subcommittee recommends
7 that the Board should take -- should task SC&A
8 with conducting two blind reviews, both being
9 done using two different approaches. One, the
10 DR using available NIOSH tools; and two, a -- a
11 dose reconstruction using, quote, common sense,
12 unquote -- common sense approach, unquote,
13 without use of NIOSH tools, in accordance with
14 the letter and intent of the statutes and
15 regulations, as John just said. I think that
16 describes it very well. So that -- and I would
17 say we -- we should try to do two of these in
18 this fiscal year and get them underway and see
19 if they're -- it's going to work and see if we
20 even want to do more of these. You know, if --
21 if -- what are we getting out of this, what is
22 it yielding for -- in terms of our
23 understanding of the dose reconstruction
24 process, and I think it might be telling from
25 that standpoint, so... I don't know if we're

1 prepared to have this as a motion from the
2 subcommittee. Wanda?

3 **MS. MUNN:** My first reaction is that it would
4 be wise to establish no more than two as an
5 initial step to see how productive this might
6 be. We -- there's no point in our doing more
7 than needs to be done, but certainly this
8 amount of quality assurance is minimal from an
9 objective point of view and two sounds like a
10 good number to start with. If it appears that
11 there may be a real issue, then it would be
12 incumbent upon us at that time to identify how
13 many and under what selection criteria we might
14 move forward.

15 **MR. GRIFFON:** Okay.

16 **MS. CHANG:** Just for clarification, is the
17 motion to recommend that the Board ask SC&A to
18 do two for this year or next fiscal year?

19 **MR. GRIFFON:** I was making it for this fiscal
20 year. And I don't know if that motion -- I
21 would offer that as a formal motion for the
22 subcommittee if anybody wants to second it.

23 **DR. POSTON:** Second.

24 **MR. GRIFFON:** John seconds it. And as far as
25 the -- I've avoided the case selection process,

1 but I think we can probably work through that.
2 We've discussed it at the last meeting. I
3 don't know that we need to discuss it a lot
4 more. I think it should be a best estimate
5 type of case, but I'd be willing to work with
6 NIOSH on -- on behalf of the subcommittee; or
7 if somebody from the subcommittee wanted to
8 work with me, we could work with NIOSH on how
9 we can get a case without publicly identifying
10 the case, and so forth, and making that
11 available to SC&A. I think we have to -- I
12 think part of the -- the -- the step involved
13 is that we have to actually open up the cases
14 and see, because some of these cases that are
15 defined as best estimate are not necessarily
16 what we -- what I interpret as sort of a best
17 estimate case. Stu -- Stu acknowledges that,
18 yeah, so... Anyway, Wanda, then John.

19 **MS. MUNN:** With respect to the timing, I was
20 unclear. I -- I believe that what John was
21 talking about earlier when we asked about this
22 was work for next year. Was it not, John?
23 Were you -- you weren't speaking --

24 **MR. GRIFFON:** But he said he -- he would be
25 willing to do it in this -- go ahead.

1 **DR. MAURO:** The two blind dose reconstructions
2 are within the scope of this fiscal year's
3 work.

4 **MS. MUNN:** Of this year. This year.

5 **DR. MAURO:** However, we have not been directed.
6 Now there is a timing problem in that we have
7 yet received the eighth set. In other words,
8 within our scope is this eighth set of 30
9 cases. I believe Stu is probably very close to
10 delivering them.

11 **MR. HINNEFELD:** (Off microphone)
12 (Unintelligible) this week if it wasn't their
13 (unintelligible).

14 **DR. MAURO:** Okay. So the timing problem goes
15 as this. That would mean that between now and
16 the end of September our intent would have been
17 to deliver the eighth set of 30 cases reviewed,
18 and also the two blind dose reconstructions. I
19 can tell you right now, that's not going to
20 happen. We're going to slip into next fiscal
21 year. We have the budget. We have the
22 resources. But we don't have the calendar
23 time. So -- so our deliverables regarding the
24 eighth set and the two blind dose
25 reconstructions probably will not show up until

1 early next fiscal year.

2 **MS. MUNN:** That was really where my question
3 was leading. While -- with the concern we've
4 had about budget, I really was getting down to
5 budget. But our two constraints, of course we
6 all know, are --

7 **MR. GRIFFON:** Yeah.

8 **MS. MUNN:** -- budget and personnel. So thank
9 you, John.

10 **MR. GRIFFON:** Well, maybe it -- maybe it would
11 make more sense to let it slip into next fiscal
12 year for these blind reviews, given that -- the
13 other factor's going to be us working with
14 NIOSH to select the cases so, you know, by the
15 time we -- realistically, by the time we do
16 that, we're going to be slipping -- time is
17 going to slip away here and you don't -- it --
18 it probably will slip into next fiscal year.
19 So I gue-- I guess that would be fine for me to
20 --

21 **MS. MUNN:** I would --

22 **MR. GRIFFON:** -- to propose it for next fiscal
23 year.

24 **MS. MUNN:** I would offer that as a minor --

25 **MR. GRIFFON:** Okay, minor -- friendly

1 amendment.

2 **MS. MUNN:** -- friendly amendment.

3 **MR. GRIFFON:** All right.

4 **UNIDENTIFIED:** May I ask as to what was the
5 discussion on --

6 **MR. GRIFFON:** Can I ask who -- who's speaking
7 on the phone?

8 **MR. FULTZ:** This is -- this is Kal Fultz,
9 excuse me.

10 **MR. GRIFFON:** Okay.

11 **MR. FULTZ:** I didn't know if I missed this or
12 not at the beginning, I couldn't hear at the
13 beginning of the meeting, but did -- was there
14 a decision made on the future of the Advisory
15 Board and its continuance after August?

16 **MR. GRIFFON:** No, no. This is the subcommittee
17 -- subcommittee meeting. The -- the full
18 Advisory Board meeting is going to start at --
19 at 1:00 p.m. --

20 **MR. FULTZ:** Oh, I see, right. Okay, so --

21 **MR. GRIFFON:** -- our time.

22 **MR. FULTZ:** -- (unintelligible) you'll take up
23 that (unintelligible) --

24 **MR. GRIFFON:** Yeah. So then we'll talk about
25 the overall program at that point.

1 **MR. FULTZ:** I see, okay.

2 **MR. GRIFFON:** Okay?

3 **MR. FULTZ:** Thank you.

4 **MR. GRIFFON:** Thank you. John.

5 **DR. POSTON:** I just wanted to make sure that I
6 understood -- even though I seconded the motion
7 so we could discuss it, I want to make sure I
8 understand what's being proposed, that we ask
9 SC&A to do these two blind reviews and then at
10 that point we'll evaluate whether additional
11 reviews are necessary. Is that what you said?

12 **MR. GRIFFON:** Well, I think they -- they've
13 budgeted for additional blind reviews, but I
14 think we've -- what I'm saying is that we
15 should try this approach and see what we're --
16 what benefit it is to the overall evaluation of
17 the dose reconstruction process. You know,
18 what -- are we getting something out of this?
19 Is it the right thing? Is it the right way to
20 approach it? Is one option -- we're doing them
21 with these two options; is one more useful than
22 the other? I mean, I'm not sure what we're
23 going to find out of this. So I think --

24 **DR. POSTON:** Well, that --

25 **MR. GRIFFON:** -- that's why I want to limit it

1 to the number and -- and you know, at this
2 point just let's do two blind reviews and see -
3 - instead of assigning, you know, ten or 20
4 blind reviews, I think we want to do two, see
5 what -- what comes out of it and then --

6 **DR. POSTON:** Yeah.

7 **MR. GRIFFON:** -- and then make a decision from
8 there.

9 **DR. POSTON:** And that's exactly my point. We
10 want to stop, see what we've got, evaluate the
11 cost --

12 **MR. GRIFFON:** Yeah.

13 **DR. POSTON:** -- of what we've got, and then
14 make a decision as to how to go forward. Okay.

15 **MR. GRIFFON:** Yeah. Stu.

16 **MR. HINNEFELD:** This is Stu Hinnefeld from
17 NIOSH again. I just wanted to offer -- and I
18 don't know if -- I think this is the case,
19 somebody can correct me if I'm wrong. If this
20 is made fiscal year '08 scope for -- as a
21 fiscal year '08 task for SC&A, then my
22 understanding is they won't be able to start on
23 it until October 1st. You know, we can work in
24 the meantime to select the cases --

25 **MR. GRIFFON:** Yeah.

1 **MR. HINNEFELD:** -- but their -- their work
2 would -- I think would have to start on October
3 1st. Wouldn't that be your interpretation,
4 John? If it were October -- if it were '08
5 work?

6 **DR. MAURO:** (Off microphone) Yes,
7 (unintelligible).

8 **MR. HINNEFELD:** Okay. Now if it were '07 work,
9 and it were tasked to them in '07 as '07 work,
10 that task can carry over into FY '08. That
11 doesn't mean you have to finish in FY '07. So
12 they could start sooner than October 1st if it
13 were FY '07 work. I believe that's the way it
14 works.

15 **MR. GRIFFON:** Okay. And -- and -- and John,
16 you're saying you have the budget available now
17 for -- to do it under '07 work, so...

18 **DR. MAURO:** Yes. We've set aside resources in
19 anticipation that this may occur.

20 **MR. GRIFFON:** Okay. Wanda.

21 **MS. MUNN:** Then my friendly amendment would be -
22 -

23 **MR. GRIFFON:** Withdrawn?

24 **MS. MUNN:** -- that we ask SC&A to proceed along
25 this path, understanding that it may not be

1 completed --

2 **MR. GRIFFON:** Right.

3 **MS. MUNN:** -- in FY 2007.

4 **MR. GRIFFON:** All right. So we'll -- we'll
5 stick with the original, which is that we'll do
6 this work under FY '07 budget, and that was in
7 the original motion, so -- I can reread the
8 motion if we want, or are we ready to -- can we
9 vote on the motion at this point?

10 **MS. BEHLING:** Mark, can I just add something?

11 **MR. GRIFFON:** Yeah, Kathy?

12 **MS. BEHLING:** Okay, yeah. This is Kathy
13 Behling. I just want to reiterate what John
14 just stated, is we have not received the 30
15 cases from the eighth set yet, and --

16 **MR. GRIFFON:** No, we fully under-- we fully
17 understand that.

18 **MS. BEHLING:** Okay.

19 **MR. GRIFFON:** All right. So we -- we don't
20 expect that it'll be done by October 1, you
21 know.

22 **MS. BEHLING:** Okay.

23 **MR. GRIFFON:** But we might as well get it --

24 **MS. BEHLING:** I think you have to make that
25 very clear because this is going to be delving

1 into a new area and these are going to take
2 some time, so that has to be considered.

3 **MR. GRIFFON:** No, I think we all are aware of
4 that. And it's going to take us time to work
5 with NIOSH to select the case --cases, too,
6 so...

7 **MS. BEHLING:** Okay. Thank you.

8 **MR. GRIFFON:** All right. At this point I would
9 offer that motion for -- for -- up for a vote,
10 if that's okay?

11 **UNIDENTIFIED:** Uh-huh.

12 **MR. GRIFFON:** All in favor of the motion from
13 the subcommittee to the Board, say aye.

14 (Affirmative responses)

15 All opposed?

16 (No responses)

17 None opposed. Okay. The motion passes.

18 **MS. CHANG:** Let me jump in here with a
19 housekeeping -- so is the phone situation
20 better? Can y'all hear, people on the phone,
21 when Stu was up on the microphone? Was that
22 okay?

23 **MR. FULTZ:** Pretty good, I just missed the
24 introduction of the other caller that's on the
25 phone with me that's not part of the Board or -

1 - or SCA.

2 **MS. CHANG:** And actually --

3 **MR. FULTZ:** The person from Ohio, I believe.

4 **MS. CHANG:** -- since you're still here, our
5 transcriber didn't quite get your name. It's
6 Cal, like California, C-a-l?

7 **MR. FULTZ:** Oh, my name?

8 **MS. CHANG:** Yes.

9 **MR. FULTZ:** Kal -- with a K, actually.

10 **MS. CHANG:** With a K.

11 **MR. FULTZ:** K-a-l.

12 **MS. CHANG:** And your last name? Could you
13 spell that again?

14 **MR. FULTZ:** Fultz, F-u-l-t-z.

15 **MS. CHANG:** F as in Frank, u-l-t-z. All
16 right. Thank you.

17 **MR. FULTZ:** Right, F-u-l-t-z.

18 **MS. CHANG:** Thank you.

19 **MR. SHATELL:** Sir?

20 **MR. FULTZ:** (Unintelligible) LLC is my
21 (unintelligible).

22 **MR. SHATELL:** Sir?

23 **MR. GRIFFON:** Who -- who is that on -- is
24 someone on the phone line? Oh, I'm sorry.
25 Hello.

1 **MR. SHATELL:** All right.

2 **MR. GRIFFON:** Can you give us your name for the
3 record, sir?

4 **MR. SHATELL:** I'm Charles W. Shatell. I worked
5 on the Hanford project for 30 years and I was
6 with the J. A. Jones Company. I wanted this --
7 is this NIOSH -- a room here with NIOSH --
8 NIOSH people? My NIOSH number is [Information
9 Redacted]. I've had -- been with them ever
10 since 2001. Now, I've got cancer and I've got
11 it bad. I wanted to come up here today, if you
12 people are with NIOSH, to let you know what
13 I've run up against. The Labor Department says
14 everybody has cancer and they don't want to pay
15 me nothing. Money don't mean a thing to me.
16 Now, what I'm wondering is --

17 **MR. GRIFFON:** Could --

18 **MR. SHATELL:** -- would we have a contract with
19 DOE to change 400 valves at 100 N, and when we
20 did that, we weren't informed that we would be
21 running into radiation like we did. The 100 N
22 fuel elements read 550 R. They were made out
23 of cobalt-60, if you know what that is. And
24 when the --

25 **MR. GRIFFON:** Excuse me --

1 **MR. SHATELL:** -- man from --

2 **MR. GRIFFON:** Excuse me, sir --

3 **MR. SHATELL:** -- DOE told us that the reading
4 was 550 R, all the engineers and a lot of other
5 people -- they left, right quick. 550 R will
6 kill you, if you know what I'm talking about.
7 And so anyhow, we finally got it changed and
8 got the thing taken care of. But I ended up
9 with cancer. And I've got a four plus four
10 cancer and, if anybody knows anything about
11 cancer, five plus five kills you. So now we
12 got three ways that we could go.

13 **MR. GRIFFON:** Sir -- sir --

14 **MR. SHATELL:** Take your prostate out, take your
15 --

16 **MR. GRIFFON:** Sir --

17 **MR. SHATELL:** -- radiation the rest of your
18 life, or take a shot.

19 **MS. CHANG:** Sir --

20 **MR. SHATELL:** I had the shots.

21 **MR. GRIFFON:** Sir, excuse me.

22 **MR. SHATELL:** Yes.

23 **MR. GRIFFON:** Can I ask -- we -- we do have a
24 public comment period this after-- or probably
25 this evening. Would you be able to come back

1 early this evening? Are you going to be here
2 all day or -- because right now we're -- we're
3 --

4 **MR. SHATELL:** I thought it was here just this
5 morning.

6 **MS. CHANG:** No. The public comment period --

7 **MR. GRIFFON:** No.

8 **MS. CHANG:** -- is from 5:00 to 6:00. There is
9 a sign-in sheet already outside. You can sign
10 --

11 **MR. SHATELL:** What time?

12 **MS. CHANG:** 5:00 to 6:00 tonight. There is a
13 sign-in sheet outside so you can go ahead and
14 sign up. And also, for the rest of the meeting
15 we'll also be having people from NIOSH --
16 advisors that you could speak with -- no, not
17 direc-- but definitely tonight and tomorrow
18 night.

19 **MR. FULTZ:** I would like to get Mr. Shatell's
20 name and number.

21 **MR. SHATELL:** What I'm interested in was to get
22 my part of -- here to the NIOSH because I
23 wasn't with them for --

24 **MR. GRIFFON:** Yeah.

25 **MR. SHATELL:** -- a long time.

1 **MS. CHANG:** We have NIOSH people in the -- we
2 have NIOSH people right now who are happy to
3 speak with you.

4 **MR. FULTZ:** How do you spell your last name,
5 Mr. Shatell?

6 **MR. SHATELL:** I've done everything except --
7 the next thing is I'm going to have to sue
8 somebody.

9 **MR. FULTZ:** Well --

10 **MR. GRIFFON:** Okay.

11 **MR. FULTZ:** -- Mr. Shatell, how do you spell
12 your last name?

13 **MR. GRIFFON:** Sir --

14 **MR. SHATELL:** What time this evening are you
15 going to be here?

16 **MR. GRIFFON:** Excuse me --

17 **MS. CHANG:** 5:00 o'clock.

18 **MR. GRIFFON:** Yeah, public comment will be at
19 5:00 o'clock.

20 **MS. CHANG:** And also tomorrow night again at
21 7:30. So you could speak both nights. We do
22 have NIOSH people -- Mr. Hinnefeld's happy to
23 speak with you right now.

24 **MR. SHATELL:** Is that today?

25 **MS. CHANG:** Yes, sir. Right here in this room.

1 **MR. SHATELL:** This afternoon?

2 **MS. CHANG:** 5:00 o'clock.

3 **MR. SHATELL:** 5:00 o'clock?

4 **MS. CHANG:** Yes, sir. Thank you --

5 **MR. SHATELL:** Thank you very much.

6 **MS. CHANG:** Thank you very much.

7 **MR. GRIFFON:** And Stu -- Stu's right there.

8 He'd be glad to talk with you right now if you

9 would like. Thank you. We really do have to

10 get through our subcommittee work right now.

11 It's not a public comment time and we will have

12 plenty of time through this meeting for that.

13 So we would ask people to hold back on general

14 comments at this point. If you have something

15 specific about the subcommittee work, that's

16 fine. But general comments are --

17 **MR. FULTZ:** I have a question about the blind

18 study. This is Kal Fultz. Just a quick

19 question. When you -- when you do the blind

20 study, I'm -- I'm assuming that you're going to

21 take -- take cases that NIOSH won't have an

22 idea that you're actually doing a blind study

23 on? Or are they just providing you with the

24 same information that they've used to come up

25 with a dose reconstruction, and then you're

1 taking it -- without talking with them and
2 communicating with NIOSH and just --

3 **MR. GRIFFON:** Yeah, that's the -- the latter is
4 what's -- the case is going to be. We're going
5 to take the raw data that NIOSH has received
6 from the Department of Energy or from an AWE
7 site or wherever, and have SC&A take the raw
8 data and do the dose reconstruction from there.
9 And -- and --

10 **MR. FULTZ:** Now are you considering any type
11 of -- the type of work performed at the site
12 and -- to the dose reconstruction?

13 **MR. GRIFFON:** Yeah -- yeah, all those -- all
14 those assumptions and considerations will be
15 made, yeah, in the process of the blind review.

16 **MR. FULTZ:** What about the -- the type of toxic
17 material that was handled there?

18 **MR. GRIFFON:** The -- the only way that toxic
19 material's going to have any impact is on the
20 internal dose, possibly in terms of solubility
21 and things like that. But this program only
22 covers radiation exposures, so...

23 **MR. FULTZ:** Right, so what, ionization of
24 radiation and so forth, like that? Are we
25 talking about reactor ionization of fuel?

1 **MS. MUNN:** All radiation --

2 **MR. GRIFFON:** Yeah, all -- all radi-- all
3 ionizing radiation, yes, that's...

4 **MS. FIERING:** I have a question based on that,
5 too. This is Joanie Fiering, also from
6 Portsmouth, Ohio. I don't know how you would
7 factor in lack of proper maintenance on these
8 plants. I just read a report recently that --
9 that's from 1996 here in the Piketon pla--
10 plant --

11 **MR. GRIFFON:** Again --

12 **MS. FIERING:** -- that they had actually used
13 masking tape on the flanges and had no idea how
14 much radiation had been coming out through
15 those flanges.

16 **MR. GRIFFON:** Again, we would invite the--
17 these comments back for our public comment
18 session.

19 **MS. FIERING:** I understand that, and I was
20 going to wait, but --

21 **MR. GRIFFON:** Yeah.

22 **MS. FIERING:** -- Kal was asking questions about
23 the type of work and the type of exposures and
24 I thought that would kind of piggyback on
25 there.

1 **MR. GRIFFON:** Well, yeah, we really just have
2 to get through our -- our subcommittee work at
3 this point. I mean it's --

4 **MR. FULTZ:** Right.

5 **MS. FIERING:** Okay.

6 **MR. GRIFFON:** We really want to hear your
7 comments --

8 **MR. FULTZ:** This is a working group meeting,
9 yeah.

10 **MS. FIERING:** Gotcha.

11 **MR. GRIFFON:** We really want to hear your
12 comments, it's just that we have to move
13 through this -- this amount of work and this --
14 we only have an hour left for our subcommittee.

15 **MS. FIERING:** I apologize for interrupting.

16 **MR. GRIFFON:** That's okay. Thank you.

17 **BASIC VS. ADVANCED REVIEWS**

18 All right. The next item I have on the
19 subcommittee agenda is the advanced versus
20 basic reviews. And from the -- I -- I printed
21 off -- and I'm sorry I didn't get this to
22 people earlier -- but I printed off the old --
23 the original scope that we had for basic versus
24 advanced. Oh, John Mauro has one more comment
25 here while we're passing things around.

1 **DR. MAURO:** By way of the approach -- something
2 that Arjun reminded me of and I think it is an
3 important question -- our approach would be to
4 use the data set that's provided to us by
5 NIOSH. That is, the set of all of the bioassay
6 and the external dosimetry data that is
7 delivered to NIOSH by DOE as part of the
8 process, but that data regarding that worker
9 would be then delivered to us in some
10 electronic form. The question becomes this:
11 as part of the blind dose reconstruction, do we
12 go back to da-- to DOE and perhaps explore
13 further any places that we want to check out
14 regarding data adequacy, completeness. Right
15 now our approach is to take the data that has
16 been delivered to us, as opposed to exploring
17 further, more deeply, going to DOE to see if
18 there is more data that we should be looking
19 at.

20 **MR. GRIFFON:** My -- my feeling is that you're
21 segueing into my advanced review. I -- I -- I
22 think at this point the blind reviews -- I
23 think -- and this is just my feeling, but I
24 think we should stop with the data set that you
25 have from NIOSH. However, the other point I

1 think comes up in some of the scope items in
2 the advanced review that I want to discuss now.
3 And we -- we need to -- I think they're
4 certainly worthy points and important points,
5 but I think they -- I would offer to cover
6 those in the advanced reviews. Lar-- Larry.

7 **MR. ELLIOTT:** Larry Elliott from NIOSH. I
8 think it goes beyond the data that is given --
9 been given to us by the Department of Energy
10 based upon our request for information. It --
11 we intend to give you a case file with all of
12 the information that has been assembled and
13 developed in that case file. That includes the
14 Computer Assisted Telephone Interview report
15 and any communications that we've had with the
16 claimant, any information the claimant has
17 submitted. If you -- if you at -- at some
18 point decide that you need to approach DOE,
19 you'll need to do that through us to get the
20 information that you're seeking. But it goes
21 beyond what DOE gives us.

22 **MR. GRIFFON:** My -- my intention is that --
23 that SC&A get all the information that the DR
24 person assigned to a case at NIOSH would get,
25 which I think involves, like Larry said, the

1 interview stuff and all those communications,
2 as well as the DOE raw data or -- you know,
3 so...

4 The other item -- this sort of extends into the
5 advanced versus basic, and part of what I
6 wanted to do in this -- I -- I raised this
7 topic before -- is that I think we've been
8 doing sort of -- SC&A has been conducting the
9 reviews, but we really haven't characterized
10 them as basic or advanced. I think they've
11 been calling all of them sort of realistic
12 reviews of the cases, and I thought it was
13 worthwhile for our subcommittee to look back at
14 the original scope and make sure -- and I think
15 there are some scope items in the advanced
16 review that we need to -- we need to address
17 going forward that we haven't necessarily
18 touched on in previous reviews. And if you
19 look at the document I just sent around, the
20 first page -- or the first two and a half pages
21 are the original scope, and then you'll see a
22 break in the middle of the third page where it
23 says "scope which needs to be covered in future
24 advanced reviews". That -- that's my insert at
25 the bottom, and really all I did was -- the --

1 the -- the next page is that same scope
2 reprinted again, but I just highlighted some of
3 the points from the advanced review, the same -
4 - it's the same advanced review scope, but I
5 highlighted points.

6 And I'll just walk through these while you're
7 reading, but in the advanced review you have
8 review of data gathering, and one -- item one
9 says "review the entire administrative record".
10 I highlighted that 'cause I'm not sure if we --
11 in the -- in these reviews that SC&A currently
12 does, I'm not sure they review the entire
13 administrative record. I don't know if that's
14 sort of in your -- in your charge.

15 The second item says "evaluate whether the
16 information from the site profile is consistent
17 with the information used for the individual
18 dose estimate". And here I would say items two
19 and three -- and the third item is that all
20 relevant sources of data are considered. And I
21 think items two and three in this scope for
22 data gathering may better be covered in the
23 site profile review as -- when we originally --
24 originally wrote this scope, we -- we really
25 didn't understand what our scope was going to

1 be for our site profile reviews, and I think
2 some of these items may be better served under
3 the site profile reviews when we're doing them.
4 But for some types of cases, we don't have site
5 profile reviews so we may want to consider some
6 of these items. So that's -- that's the review
7 of the data gathering.

8 The second -- item B is sort of the phone
9 interview process and one is evaluate the
10 effectiveness of the phone interviews and the
11 second part is the question of the survivors,
12 whether survivor claimants -- whether there've
13 been an adequate effort to research co-located
14 workers for the survivors.

15 And then finally, item C is the internal and
16 external dose estimate question. And mainly in
17 this I -- I focus you on item one, which is
18 that -- this is sort of the -- the idea that if
19 NIOSH used -- in doing internal dose estimates
20 they use -- say they use urinalysis records to
21 calculate their intakes and the dose, did they
22 cross-check that with air sam-- available air
23 sampling data or available in vivo count data
24 or anything like that. And -- and we would ask
25 that SC&A sort of look at that. And that's

1 sort of a reality check, is this -- is this
2 estimate consistent with other site data. And
3 I don't think we've done that for any of our
4 reviews so far.

5 So those are sort of the -- that -- that's sort
6 of the highlights of the advanced review as we
7 intended it, you know, when we -- when we
8 initiated this. Now, I would say that some of
9 these may want to be reconsidered for future
10 advanced reviews, some of them fall more in the
11 -- in the -- in the site profile review
12 capacity, but I think we want to sort of
13 discuss these and, you know, see what we want
14 to do with these in the future. Wanda?

15 **MS. MUNN:** All your highlighted items are well
16 taken and certainly I think need to be where
17 we're going generally. My one caveat is with
18 item B1. If memory serves, that particular
19 item was approached fairly rigorously by our
20 working group. I believe we've looked at that
21 effectiveness of the Computer Assisted
22 Telephone Interviews in another workgroup. I
23 recall personally doing some work on that
24 myself back in Cincinnati, but I'm not -- we --
25 the result of which was a letter suggesting

1 some changes with respect to communications
2 that followed the CATI. So that it may be a
3 duplication of effort, is my point, for that
4 particular item.

5 **MR. GRIFFON:** Well, I don't re-- I don't recall
6 that -- that work-- maybe there was a
7 workgroup, I just don't recall what we did or
8 what we -- so we may want to look back at that
9 and see where that stands, or how -- how we
10 concluded that. I know that this was picked up
11 in the procedures review, and I think there
12 were some outstanding questions on the whole
13 CATI interview process. John or Arjun, I don't
14 know if you had a comment.

15 **MS. HOWELL:** Oh, I was just going to refresh
16 your memory. I think what Wanda's referring to
17 is the CATI phone process interviews that were
18 looked up by Dr. Lockey's working group on
19 procedures, so -- and they did draft a letter
20 from that and you may want to just speak with
21 him and make sure that you have access to what
22 they prepared on that same issue.

23 **MR. GRIFFON:** Good idea. Okay.

24 **DR. MAKHIJANI:** Yeah, Arjun Makhijani from
25 SC&A. We -- we did -- when we submitted our

1 first review of the procedures there was a
2 review of the CATI interviews and there was --
3 it was part of the matrix and a lot of the
4 items of the matrix were discussed. And one of
5 the things that was done -- Stu is not here but
6 maybe Jim might remember -- is that the letter
7 going out to the claimant was changed, and a
8 number of things were changed. But the one
9 outstanding item that was not resolved was the
10 one that you mentioned, Mark, which is that we
11 had observed that co-located worker interviews
12 were generally not being done. And one of the
13 recommendations in our review was that for
14 survivor claimants who were -- might be denied,
15 that those should be done just to make sure
16 that there was more of an even playing field
17 between survivors and living employee--
18 survivor claimants and living employees. So
19 that issue has not been addressed specially in
20 any dose reconstruction reviews, so far as I'm
21 aware.

22 **MS. MUNN:** So --

23 **MR. GRIFFON:** Let me get -- go ahead, Larry.

24 **MR. ELLIOTT:** The policy and the practice in
25 OCAS in doing dose reconstructions includes

1 this effort to contact the next -- or workers
2 who have been so identified, if it is felt by
3 the dose reconstructor that it will add to a
4 better understanding in reconstructing the
5 dose. And in very few situations have we
6 exercised that. We have found that it -- it
7 really doesn't help. It doesn't add any more
8 dose to the -- to the dose estimate. I think
9 we've only done a hand-- a hand-- few of these
10 follow-back efforts to interview coworkers.

11 **MS. MUNN:** My memory is that was one of the
12 issues that we discussed when the other
13 workgroup was looking at these telephone
14 interviews. We did not follow through on it
15 because -- again, going from memory -- my
16 memory is it was a general feeling of the
17 workgroup that when this had been attempted it
18 was not productive to a large degree.

19 **MR. GRIFFON:** I think -- I think what I would
20 offer here -- 'cause I'm looking also at our
21 time -- but I think what I would -- oh, is it -
22 - is it 10:30? I've got Eastern time on still
23 -- okay. Okay. We've got -- we do have time.
24 Okay.

25 SC&A TASKS FOR FY08

1 I -- I -- I guess what I was considering was,
2 you know, either -- either that -- that SC&A,
3 in -- in the future adva-- you know, we could
4 define advanced reviews, and that we would
5 consider this scope, as originally defined, in
6 doing these advanced reviews. But we can --
7 maybe what we need to do is come back with a --
8 a refined scope. I don't know. This is the
9 original contract language. Right? So I don't
10 know to what extent we can refine this or how -
11 - what we have to go through to do that. But
12 we might want to refi-- you know, my -- my main
13 purpose here was just to bring up some of these
14 that I think clearly need to be considered if
15 we want to hit our main advanced reviews, and
16 then sort of the mechanics of how do we do
17 this. I don't think that -- for some of them I
18 don't think it's going to be very worthwhile to
19 do an advanced review if we also are doing an
20 extensive site profile review because we --
21 we'll -- you know, we could assign four
22 advanced reviews for Hanford cases and we've
23 got an ongoing site profile review that's going
24 to probably get at many of those items in that
25 process so we don't need to be doing it in both

1 -- in both steps, sort of. But I think that on
2 -- on some of the other sites I think it will
3 be important, some of the other cases that we
4 are not doing site profiles re-- reviews, and
5 some of them don't even have site profiles, per
6 se.

7 **MS. MUNN:** Common sense would tell us that this
8 subcommittee needs to be very clear in the
9 instructions that we give to the contractor so
10 that we don't go too far afield, waste our
11 time, their time and the taxpayers' money in
12 making sure that the quality that we're seeking
13 is actually met by the agency. We may want to
14 -- I think the word you used was mechanics --
15 sharpen the mechanics a little bit before we
16 give instructions to the contractor as to
17 exactly what we expect them to do. There
18 surely need to be some limits placed on this.
19 There's certainly a parameter. There's a
20 circle we need to draw around what we expect, I
21 think.

22 **MR. GRIFFON:** Right. And -- and I -- I think
23 we would also -- it would probably best work --
24 and this is just open discussion at this point.
25 I think we do want to maybe formalize something

1 in -- in writing and then bring a motion back
2 to our next subcommittee meeting, but I think
3 it would work. It seems like it would probably
4 work best -- if you look at the last paragraph
5 on the last page of the handout I just gave, I
6 had some -- you know, some of the things I
7 think we need to consider and -- you know, when
8 does it make sense to do an advance review, and
9 do we want to -- is the scope going to sort of
10 vary, depending on what -- which case. So I --
11 you know, I think some of those things we've
12 already discov-- already discussed, but...

13 **MS. MUNN:** Could we do some word construction,
14 perhaps off-line, and have perhaps a
15 subcommittee telephone conference prior to the
16 full Board conference in September so that at
17 September we could bring the precise wording --

18 **MR. GRIFFON:** Make a mo-- make a proposal,
19 yeah.

20 **MS. MUNN:** Yeah.

21 **MR. GRIFFON:** Yeah, I think that's a good idea.
22 I mean any -- any other comments on these scope
23 items? I think that's what I was looking for
24 today.

25 **MR. PRESLEY:** (Off microphone) (Unintelligible)

1 those comments?

2 **MR. GRIFFON:** Yeah. I can -- I can e-mail this
3 around so if people want to give some red-line
4 comments or whatever -- yeah, okay.

5 **MS. MUNN:** Read your mind.

6 **MR. PRESLEY:** Yes, ma'am.

7 **MR. STAUDT:** Hey, Mark?

8 **MR. GRIFFON:** And I -- yeah, was someone on the
9 phone there?

10 **MR. STAUDT:** Hi, this is David Staudt from --
11 the Contracting Officer. I -- I would think,
12 you know, maybe taking advantage -- on Thursday
13 we're going to be talking about the actual task
14 for SC&A for the next year. And that type of
15 language is in their proposals to us so we --
16 you know, I think you -- you may be able to do
17 something right at that point.

18 **MR. GRIFFON:** Yeah, I --

19 **MR. STAUDT:** Exactly what you want under the --
20 under the blind and -- and otherwise.

21 **MR. GRIFFON:** Yeah, I did talk -- maybe we can
22 come up with some language. I did talk to John
23 a little bit prior to this meeting -- John
24 Mauro -- and we dis-- you know, we discussed
25 how this might play out and -- and if these

1 scope items would necessarily impact his
2 proposal. And his initial reaction was that it
3 wouldn't impact the proposal before the Board,
4 so --

5 **MR. STAUDT:** Okay, good.

6 **MR. GRIFFON:** -- as long as -- yeah, as long as
7 our -- our language fits within that, I think
8 we'll be okay.

9 **MR. STAUDT:** I think you have quite a bit of
10 flexibility.

11 **MR. GRIFFON:** Yeah. Yeah, so... I think some
12 of the -- you know, and the reason I -- this
13 was just an initial dialogue. I wish I had got
14 this around a little sooner, but, we'll --
15 we'll -- I'll e-mail it to everyone on the
16 subcommittee, get some reactions, and we can
17 come up with more specific language for our
18 proposal to the Board. I think that's the best
19 way to move forward with it.

20 Any other -- any other reactions at this point?

21 **MS. CHANG:** So is the plan to have a proposal
22 before the Board on Thursday?

23 **MR. GRIFFON:** Not at this meeting, I don't
24 think, no. No.

25 **MS. CHANG:** And by September would that be too

1 late for the FY '08?

2 **MR. GRIFFON:** Yeah, I -- like I said, I think
3 the -- the proposed language that we're going
4 to have here, my read is that it's going to be
5 consistent with SC&A's proposal so it won't --
6 anything we're going to come up with later is
7 not going to contradict anything in the current
8 SC&A proposal. So I think we're okay with that
9 regard. John, is that -- that's your sense,
10 right?

11 **DR. MAURO:** That's correct. In the preparation
12 of our proposal, which I guess we'll be dealing
13 with later, I did anticipate that this would be
14 an issue and so, yes, we are prepared to take -
15 - take on the advanced reviews as you've
16 discussed and stay within our budget for next
17 year. So yes, however you decide to engineer
18 it and define it, I think we're going to be
19 fine.

20 **MR. GRIFFON:** When -- when you're -- when
21 you're thinking about this, my -- other members
22 here, I'd ask you to think about the scope, but
23 also think about these mechanics, as I -- as I
24 call them, and that -- part of the way I was
25 envisioning this working is, as we've seen when

1 we select cases, you can't always just look at
2 a list of cases and know what you're going to
3 get into when you open the case files and
4 stuff. So Stu -- Stu's given us a lot of
5 information to help us along those lines, but
6 still, until you open the case you're not
7 exactly sure what you're going to get. And I
8 -- I -- I suggest, or at least the initial
9 thought that I have is that we -- we might, at
10 some preliminary stage, identify cases as basic
11 -- and I envision that most of our cases are
12 still going to be basic which, when I say
13 basic, is consistent with what SC&A has done in
14 all their past case -- case work. And then a
15 few we might identify as advanced. But we also
16 have an opportunity for an iterative step there
17 where SC&A can come back to the subcommittee
18 and say, you know, we know you pre-identified
19 these as advanced, but we don't think they're
20 appropriate, or we think that this basic one
21 should be an advanced and so -- so we have an
22 iterative step there that we can adjust because
23 we know that the parameters -- sometimes when
24 we first look at a case, it's not a best
25 estimate case or it's not what we thought when

1 we thought best estimate, for example. So you
2 know, we sort of have that iterative step that
3 SC&A can come back and give us a sort of
4 reality check on what the case is about and
5 whether the Board still thinks it's worth the
6 advanced review effort or whether it should be
7 a basic review, for instance, you know, so...
8 But -- but I'd ask you to think about how --
9 how we can, you know, apply the mechanics in --
10 through this process.

11 All right? Anything else? All right.

12 **STATUS AND FUTURE PLANS**

13 The last item I have for the subcommittee is
14 just sort of a status update, and the -- as I
15 said earlier, we -- we had -- we have the
16 fourth through the eighth set kind of in -- in
17 process -- in various stages of the process and
18 I'll just review 'cause I needed a refresher
19 myself. I talked to Kathy Behling earlier this
20 morning.

21 The fourth set of cases, we did have a -- a
22 comment resolution process. We had some cases
23 that needed sort of -- NIOSH went back and
24 actually had to provide some specific analysis
25 back to the -- back to SC&A, and I believe to

1 the workgroup, although I don't seem to have
2 that disc that they indicated that they sent.
3 Anyway, there-- there's maybe four or five
4 cases I think that -- that are impacted by that
5 that -- it's sort of a re-analysis of either an
6 internal dose component or whatever, so there's
7 some ongoing reassessment there.

8 The fifth set we also went through the whole
9 resolution process, the matrix. At that point
10 there was some -- not very many, actually, but
11 some that SC&A or NIOSH had to go back and --
12 and sort of further investigate. And my sense
13 is that we're -- we're much -- we're close to
14 closing out that matrix for the fifth set of
15 cases. The fourth set has -- has these --
16 these more robust cases that are -- might take
17 a little longer to reassess.

18 The sixth set of cases -- SC&A has completed
19 the matrix and that's in the early stages of
20 the process. I think -- I think that's as far
21 as it is right now. SC&A has finished the
22 matrix, though. They've -- they've told me
23 that they've got the matrix complete, and I may
24 actually be the -- the holdup there. But that
25 -- that'll go to NIOSH next and -- and NIOSH

1 will give their response to SC&A's findings and
2 will bring it back to the subcommittee process.
3 The seventh --

4 **MS. MUNN:** That was the fourth set?

5 **MR. GRIFFON:** That was the sixth set.

6 **MS. MUNN:** Sixth set.

7 **MR. GRIFFON:** Yeah. The seventh set of cases -
8 - SC&A is finishing their review, and I think
9 Kathy said within a couple of weeks -- maybe
10 three weeks -- they expect to be doing the team
11 meetings, the -- the phone call meetings. And
12 Kathy, do we -- we have teams assigned for the
13 seventh set. Right?

14 **MS. BEHLING:** Yes, we do.

15 **MR. GRIFFON:** Yeah. So we should expect to
16 hear from SC&A about setting up those
17 conference calls that we do with the two or
18 three team members to discuss the cases in two
19 or three weeks time on that.
20 And the eighth set -- I think that this already
21 came up, that the Board selected these cases
22 just recently and NIOSH is -- is -- still has
23 to get those cases to SC&A, so SC&A has not
24 started those yet. But the cases have been
25 selected and the process is underway from that

1 standpoint. Paul. Paul Ziemer.

2 **DR. ZIEMER:** Just a couple of comments. Remind
3 the Board that on the first three sets we have
4 officially reported to the Secretary on those.
5 So in one sense they're closed, although we're
6 cognizant of some of the items we need to
7 continue to track in the future.

8 **MR. GRIFFON:** Right.

9 **DR. ZIEMER:** But I think it's important,
10 particularly on the next -- let's say the next
11 forty, which would be four and five -- sets
12 four and five, to try to close those out if we
13 can this fiscal year and try to get the reports
14 in to the Secretary.
15 My final comment is, or two comments -- we're
16 basically working on two-- two-person teams
17 now. We have six teams of two for -- for set
18 seven, working in -- we had been working in
19 twos and threes.

20 **MR. GRIFFON:** Yeah.

21 **DR. ZIEMER:** But with the addition of some
22 people and the numbers of cases, the seventh
23 set is divided into six teams of two. And then
24 at this meeting I -- I have ready with me the
25 assignments for the eighth set, which there are

1 30 cases in set eight, you may recall now, that
2 we identified at the last meeting. And so each
3 team of two will have five cases to review, so
4 that workload's a little bigger for set eight.
5 And I'll distribute those assignments here at
6 this meeting.

7 **MR. GRIFFON:** Okay, thank you. And also to --
8 to that -- the mention of the time line in the
9 forth and fifth set, I -- I was proposing -- or
10 in my mind, I -- I think we actually discussed
11 this, having a subcommittee meeting in
12 Cincinnati, more of a working subcommittee
13 meeting where we actually have the full day to
14 go through the matrices and so forth. And I --
15 I -- I don't have a calendar here, but I think
16 if I can get together or e-mail other folks,
17 but I was thinking of early September, or
18 definitely prior to the October Board meeting
19 to have that -- that meeting. And I think that
20 was okay with Kathy and Hans Behling in terms
21 of being able to look at the -- the -- we just
22 got this disc with the fourth set reanalysis,
23 and I think that's the main thing, they want to
24 have time to -- to look at that before they
25 have a meeting. Is that correct, Kathy?

1 **MS. BEHLING:** That's correct, Mark. That'll --
2 that'll work fine.

3 **MR. GRIFFON:** Okay.

4 **MS. BEHLING:** As long as it's the beginning of
5 September.

6 **MR. GRIFFON:** All right.

7 **MS. MUNN:** A completely self-serving comment
8 here. I have another workgroup which will be
9 meeting in Cincinnati in the last week in
10 August. And if it's possible for us to look at
11 that last week in August as being a potential
12 for the subcommittee or other workgroup
13 meetings, as we have done in the past, trying
14 to coordinate them so that travel is a little
15 easier for some of us who have a long way to
16 go, it would be appreciated.

17 **MR. GRIFFON:** Sure. And we -- we can talk
18 about that off-line. I'll -- I'll -- I'll talk
19 to SC&A and make sure -- I just don't want to
20 have a meeting when we're, you know, at the
21 same point. I mean we want to make sure we
22 have sufficient time to review and we can -- I
23 want to close out the fourth and fifth set-- I
24 want to be in a position where we can close out
25 the fourth and fifth sets, at least, and

1 possibly do initial discussions on the sixth
2 set. So -- Paul -- Paul Ziemer.

3 **DR. ZIEMER:** One comment, sort of a suggestion
4 for the subcommittee to think about. And that
5 is, let's say once we're done with the fifth
6 set we'll have reviewed basically 100 cases. I
7 think it would be useful for the subcommittee
8 to think about going back and looking at a
9 rollup of those. Now Kathy's helped us do some
10 rollups already and -- and there are some sort
11 of early steps of this, but a rollup of let's
12 say the first 100 cases and try to cull from
13 that sort of the overall picture of what we --
14 what the key findings are. We've seen it in
15 little segments along the way, but I think it's
16 useful to go back and try to get the bigger
17 picture to -- once we have a good -- more of a
18 representation, and maybe the 100 cases would
19 be a good point to do that. Just to think
20 about.

21 **MR. GRIFFON:** Uh-huh. Good idea.

22 **MR. PRESLEY:** That's a good idea.

23 **MR. GRIFFON:** Yep. I think we can -- yeah, and
24 we can discuss that more at our next meeting
25 and see -- and I really do hope we can close

1 out the forth and fifth set. It might be not
2 quite this fiscal year, but we'll -- you know,
3 we'll do our best on that.

4 And I think that's -- that's all I had on the
5 agenda for the subcommittee, in a rather short
6 agenda for the subcommittee this time, because
7 we don't really have any matrix information to
8 go through. But -- any other comments or
9 concerns or items we need to consider on the --
10 future meetings?

11 (No responses)

12 Okay. Otherwise I think we can adjourn from
13 the subcommittee meeting and give ourselves a
14 little extra time before the full meeting.

15 Wanda.

16 **MS. MUNN:** So would you like to clarify then
17 exactly what we're going to have? Are we going
18 to need to be doing some work between now and
19 Thursday? I guess that's my real question.
20 And if not then --

21 **UNIDENTIFIED:** I can hardly hear.

22 **MS. MUNN:** Did someone say they couldn't hear?

23 **UNIDENTIFIED:** Yeah, I can hardly hear. It's
24 real low again.

25 **MS. MUNN:** I was simply inquiring of the

1 committee for which we are meeting here this
2 morning whether this subcommittee has
3 additional work to do prior to our Thursday
4 discussion of our meeting here. It was a
5 question for the subcommittee.

6 **MR. GRIFFON:** My -- my sense was -- you know,
7 this goes back to the contract question and my
8 sense --

9 **MS. MUNN:** Yes.

10 **MR. GRIFFON:** -- I mean -- I just don't want to
11 -- I want to make sure we get -- get this
12 language correct and people have a chance to
13 review it and think about it, and I'm not sure
14 if one day is going to be adequate. But my
15 sense was that however we construct -- however
16 we worked from this scope and the mechanics we
17 -- we recommend to put in place are not going
18 to effect SC&A's proposal on that. So I don't
19 know that we need to have that resolved by
20 Thursday. We -- we -- you know, we...

21 **MS. MUNN:** I was concerned because of the
22 comments that the Contracting Officer had made
23 and wanted to make sure --

24 **MR. GRIFFON:** Right.

25 **MS. MUNN:** -- that there was no expectation of

1 us on Thursday simply because, with the
2 numerous other items that are outstanding on
3 our agenda for --

4 **MR. GRIFFON:** Yeah.

5 **MS. MUNN:** -- for this particular meeting.

6 **MR. GRIFFON:** I mean David -- David Staudt, are
7 you still on the --

8 **MR. STAUDT:** Yes I am, Mark.

9 **MR. GRIFFON:** I think that's -- I think that --

10 **MR. STAUDT:** No, I think that we're going to be
11 fine.

12 **MR. GRIFFON:** As you said, I think the con--
13 the language is flexible enough that I think
14 the -- and I think SC&A's comfortable with it,
15 so I think we'll be fine.

16 **MR. STAUDT:** Absolutely.

17 **MR. GRIFFON:** Okay. So -- so we don't have --
18 we don't have to press to get language together
19 by Thursday. Yeah. We have a little more
20 time. But I would like -- I think it might
21 make more sense if we have that meeting at the
22 end of August or whatever to have -- to have it
23 at that point and to vote on it as a
24 subcommittee motion. That would be great.
25 That would be my intent.

1 All right? Anything else for the subcommittee?

2 (No responses)

3 All right. The subcommittee meeting stands --
4 stands adjourned.

5 (Whereupon, the subcommittee meeting adjourned
6 at 10:55 a.m.)

7

1

CERTIFICATE OF COURT REPORTER

STATE OF GEORGIA

COUNTY OF FULTON

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of July 17, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 20th day of Sept., 2007.

STEVEN RAY GREEN, CCR
CERTIFIED MERIT COURT REPORTER
CERTIFICATE NUMBER: A-2102

2

3